

MINE SAFETY AND HEALTH ADMINISTRATION

+ + + + +

USE OF OR IMPAIRMENT FROM ALCOHOL
AND OTHER DRUGS ON MINE PROPERTY

PUBLIC HEARING

+ + + + +

TUESDAY,
NOVEMBER 8, 2005

+ + + + +

The public hearing was held in Conference Room H, 25th Floor, 1100 Wilson Boulevard, Arlington, Virginia, at 9:00 a.m., Ed Sexauer presiding.

PRESENT:

ED SEXAUER Chief of the Regulation Development Division
in the Office of Standards,
Regulations, and Variances, MSHA

TOM MACLEOD Training, Policy and Regulations, Office of
the Directorate of Educational
Policy and Development, MSHA

ELENA CARRU.S. Department of Labor Drug Policy
Coordinator

JENNIFER HONOR Attorney of the Solicitors Office,
Department of Labor

GENE AUTIO Industrial Hygienist in the Metal and
Nonmetal Health Division, MSHA

WILLIAM BAUGHMAN Regulatory Specialist, Regulation
Development Division in the
Office of Standards,
Regulations, and Variances, MSHA

A-G-E-N-D-A

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

OPENING COMMENTS	3
SPEAKERS:	
Eric Goplerud, George Washington University Medical Center, Director of Ensuring Solutions to Alcohol Problems	10
Biagio Joe Isgro, Vice President of Operations for Safe Systems Corporation	27
Adele Abrans, Attorney/Certified Mine Safety Professional	68
Tim Baker, Deputy Administrator for Occupational Health and Safety, United Mine Workers	92
Dr. Jim Weeks, Consultantm Industrial Hygienist, United Mine Workers	107
Ben Schlesinger, Circadian Technologies	132
William Gerringer, North Carolina Department of Labor, Mine and Quarry Bureau	136

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

P-R-O-C-E-E-D-I-N-G-S

9:03 a.m.

1
2
3 MR. SEXAUER: Good morning. My name is Ed
4 Sexauer. I am the Chief of the Regulatory Development
5 Division of the Office of Standards, Regulations, and
6 Variances for the Mine Safety and Health
7 Administration. On behalf of David Dye, the Acting
8 Assistant Secretary of Labor for Mine Safety and
9 Health, I welcome you to this public meeting. This
10 meeting provides an opportunity for you to comment on
11 the topic of the use or impairment from alcohol and
12 other drugs on mine property.

13 Also with me this morning are other
14 individuals from the Labor Department.

15 On my immediate right is Elena Carr who is
16 the United States Department of Labor Drug Policy
17 Coordinator and directs the DOL Working Partners for
18 an Alcohol and Drug Free Workplace Program.

19 On her right is Bill Baughman who is a
20 Regulatory Specialist with the Office of Standards and
21 Mine Safety Health Administration.

22 And Jennifer Honor on the far right who is
23 an attorney with the Solicitors Office, Department of
24 Labor.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 On my immediate left is Tom MacLeod who is
2 representing our Training, Policy and Regulations of
3 the Office of the Directorate of Educational Policy
4 and Development.

5 And on his left is Gene Autio who is an
6 Industrial Hygienist in the Metal and Nonmetal Health
7 Division.

8 This is the last meeting of seven
9 scheduled public meetings. These meetings were
10 announced in an Advance Notice of Proposed Rulemaking
11 or ANPRM published in the *Federal Register* on August
12 4, 2005.

13 We held other public meetings the week of
14 October 23rd in Salt Lake City, Utah, St. Louis,
15 Missouri and Birmingham, Alabama. And the week of
16 October 3st in Lexington, Kentucky, Charleston, West
17 Virginia and Pittsburgh, Pennsylvania.

18 The purpose of these meetings is to obtain
19 information about the use of or impairment from
20 alcohol and other drugs on mine property. We will use
21 the information from your comments at these meetings
22 and from written comments to help us make decisions
23 about whether we need to change our existing rules,
24 develop new rules, or provide training or other

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 assistance to the mining community. Because there may
2 a variety of approaches to address the problems of
3 alcohol and other drugs, we are seeking information
4 relating to both regulatory and non-regulatory
5 solutions.

6 The information from these public meetings
7 and written comments, will help us develop a more
8 informed understanding of the problem and its
9 solution. Our preliminary review of our fatal and
10 non-fatal mine accident records revealed a number of
11 instances in which alcohol or other drugs, or drug
12 paraphernalia, were found or reported, or in which the
13 post-accident toxicology screen revealed the presence
14 of alcohol or other drugs. However, our accident
15 investigations do not routinely include an inquiry
16 into the use of alcohol or other drugs as a
17 contributing factor. There may be many instances in
18 which alcohol or other drugs were involved in
19 accidents and either are not reported to us, or we do
20 not uncover them during investigations.

21 Because we're concerned that alcohol and
22 other drugs can create risks to miner safety, we have
23 initiated a number of education and outreach efforts
24 to raise awareness in the mining industry of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 safety hazards stemming from the use of alcohol and
2 other drugs. They include alliances with four
3 international labor unions, monetary grants to states
4 to provide substance abuse training, production of
5 awareness videos on the hazards of alcohol and other
6 drugs, and stakeholder meetings at the local level to
7 discuss these issues and raise awareness of the
8 problems. Additionally, during a one-day summit
9 conducted with the states of Kentucky, Virginia and
10 West Virginia in 2004, several coal mine operators
11 described the effectiveness of their drug-free
12 workplace programs and expressed their concern that
13 such programs were not universal in the industry.

14 The significance of the problem of alcohol
15 and other drugs in the workplace has been recognized
16 by the Federal Government and a number of programs
17 have been implemented, and various statutes enacted
18 with the goal of reducing the use of alcohol and other
19 drugs in the workplace. For example:

20 The Anti-Drug Abuse Act of 1986 allows the
21 Secretary of Labor to initiate efforts to address the
22 issue;

23 The Omnibus Transportation Employee
24 Testing Act of 1991 requires the transportation

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 industry employers to conduct drug and alcohol testing
2 for employees in "safety-sensitive" positions;

3 The Drug-Free Workplace Act of 1998
4 establishes grant programs that assist small
5 businesses in developing drug-free workplace programs,
6 and;

7 DOL's Working Partners for an Alcohol and
8 Drug-Free Workplace, of which we are a partner, is a
9 public outreach campaign raising awareness in
10 assisting employers to implement these programs.

11 On the regulatory side of this issue, we
12 currently have a safety regulation for metal and
13 nonmetal mines that addresses the use of alcohol and
14 narcotics at these mines. The rule language is the
15 same for both surface and underground metal and
16 nonmetal mines. The language simply states:
17 "Intoxicating beverages and narcotics shall not be
18 permitted or used in or around mines. Persons under
19 the influence of alcohol or narcotics shall not be
20 permitted on the job."

21 Between January 1, 2000 and June 30, 2005,
22 we issued 75 citations for violations of the metal and
23 nonmetal surface rule and 3 citations for violation of
24 the metal and nonmetal underground rule. We do not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 have a similar standard for coal mines.

2 Using drugs or alcohol at a mine site can
3 impair a miner's judgment significantly at a time when
4 a miner needs to be alert and aware. Even prescription
5 medications can affect a worker's perception and
6 reaction time. Mining is a complicated and hazardous
7 occupation, and a clear focus on the work at hand is a
8 critical component of workplace safety.

9 Therefore, through these public meetings
10 and written comments we receive we are seeking data
11 and information about six general topics that we've
12 outlined in the *Federal Register* notice. They are as
13 follows:

14 A. The nature, extent and impact of substance
15 abuse at the workplace, including how to measure the
16 extent of the problem;

17 B. The types of prohibited substances in use
18 and the problems they present;

19 C. The impact of effective training to address
20 substance abuse;

21 D. How our investigation of accidents could
22 address alcohol and other drugs.

23 E. The aspects of a Drug-Free Workplace
24 Program and how well they work, and;

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 F. The costs and benefits of addressing
2 substance abuse in mines.

3 Our *Federal Register* document poses
4 several questions about each one of these issues and
5 we encouraged you to take a look at these and respond
6 to these questions specifically either now or later in
7 writing.

8 The procedure for each of our public
9 meetings is the same. Those who have notified us in
10 advance of their intent to speak or who have signed up
11 today will make their presentations first. After all
12 scheduled speakers have finished, others are free to
13 speak. We will conclude this public meeting when the
14 last speaker has finished.

15 This meeting will be conducted in an
16 informal manner and rules of evidence will not apply.

17 The MSHA panel may ask questions to
18 clarify statements for the record, but there will be
19 no cross examination of the speaker.

20 If you wish to present written statements
21 or information today, please clearly identify your
22 material, and give to me before the conclusion of this
23 meeting, and I will identify the material for the
24 record.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 You may also submit comments following
2 this meeting. But you submit them by November 27,
3 which is the close of the comment period. You may
4 submit comments to us by electronic mail, fax or
5 regular mail at the addresses listed in the *Federal*
6 *Register* notice.

7 A transcript of this meeting will be made
8 available on our website within several days.

9 Thank you for your patience and attention
10 to these introductory remarks.

11 We will now begin with persons who have
12 requested to speak. To ensure an accurate record when
13 you come forward to the microphone, please state your
14 name and organization clearly and then spell your
15 name.

16 Our first speaker is Eric Goplerud.

17 DR. GOPLERUD: Good morning.

18 MR. SEXAUER: Good morning.

19 DR. GOPLERUD: I'm Eric Goplerud with
20 George Washington University. That's spelled, G-O-P-L-
21 E-R-U-D. And I have submitted written testimony. I
22 think Bill Baughman has it.

23 Mr. Sexauer, MSHA colleagues, my name is
24 Eric Goplerud. I am a research scientist at George

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Washington University Medical Center and Director of
2 Ensuring Solutions to Alcohol Problems, a research and
3 education project based at George Washington
4 University. We help business leaders, policymakers
5 and physicians develop solutions to alcohol problems.

6 First, let me thank this Committee for
7 having the foresight and wisdom to focus on an issue
8 that is one of the most critical problems effecting
9 American employers, the negative impact of alcohol on
10 workplace safety and productivity.

11 About nine percent of working adults
12 suffer from alcohol problems. Their employers and
13 colleagues suffer, too. Employees with alcohol
14 problems are likely to miss more days of work, have
15 lower productivity and have higher medical costs than
16 employees without alcohol problems.

17 In addition, there is clear evidence that
18 alcohol misuse, even outside of working hours,
19 increases the risk of workplace accidents, injuries
20 and fatalities.

21 In preparation for this hearing my
22 colleagues and I have conducted a detailed analysis of
23 existing data sources to determine the scope of
24 alcohol problems in the mining industry. We looked at

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the National Epidemiological Survey on Alcohol and
2 Related Conditions, the NESARC, which was produced by
3 the National Institute for Alcoholism and Alcohol
4 Abuse which interviewed more than 42,000 Americans
5 about drinking and health. And the 2002 and 2003
6 National Survey on Drug Use and Health which
7 interviews more than 70,000 people annually. This was
8 done by the Substance Abuse and Mental Health Services
9 Administration.

10 Without question, the mining industry
11 faces a significant problem. Compared to other
12 industries mining has a prevalence of alcohol problems
13 that is more than 60 percent higher than average. Why
14 is this? Because occupations with a higher proportion
15 of male employees have higher rates of alcohol
16 problems. Nearly nine out of ten miners are men.

17 Our analysis of the National
18 Epidemiological Survey on Alcohol and Related
19 Conditions finds that miners are 70 percent more
20 likely to drive drunk than the average employee and
21 significantly more likely to binge drink.

22 Mining can be a dangerous industry with
23 heavy machinery, explosives, uncertain footing and
24 difficult work requiring careful concentrations. These

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 things do not go well with alcohol.

2 I've studied alcohol in the workplace for
3 a long time, but it doesn't take a Ph.D. to know that
4 drinking and dynamite don't mix.

5 Based on what I have read about these
6 hearings before today, I know that the industry is
7 interested in federal drug-free workplace guidelines
8 and drug testing. Implementing drug-free workplace
9 policies is worthwhile for any industry. And drug
10 testing, both prior and during employment has been
11 shown to reduce the use of illicit drugs. But these
12 steps are not sufficient for the prevention and
13 treatment of alcohol problems. Alcohol is a legal
14 drug. Alcohol use is embedded in our culture. From
15 big time sports to family meals, alcohol is used by
16 the majority of adult Americans. Testing for alcohol
17 may help prevent intoxicated workers from operating a
18 backhoe, but it will not help identify workers with
19 off duty alcohol problems. It will not prevent the
20 development of alcohol problems. And it will not help
21 to rehabilitate a valuable employee who succumbs to an
22 alcohol problem.

23 There are three key components to dealing
24 with alcohol problem. First, we need to do a better

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 job finding workers with alcohol problems; not to
2 punish them but to help identify problems before they
3 affect safety. Safety is a function of having a good
4 safety system in place. With an effective approach to
5 screening and treating employees with alcohol
6 problems, mines can support safety by providing access
7 to treatment.

8 To give you some idea of where American
9 employers are with identifying alcohol problems,
10 Ensuring Solutions research shows that most health
11 plans are finding less than ten percent of people with
12 alcohol problems. With other chronic diseases such as
13 heart disease, diabetes or depression, health plans
14 identify more than 60 percent.

15 Second, we need to provide the employees
16 with an alcohol problem a way to get help. The help
17 available shouldn't be any different than the help
18 available to other health concerns. An employee with
19 an alcohol problem should not have to pay a higher
20 deductible or wait on a waiting list for treatment.
21 Again, access to treatment is essential for safety.
22 With a way to resolve an alcohol problem most
23 employees will hide their problem and increase the
24 likelihood of an accident.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Third, we need to provide workplace
2 policies that support treatment and recovery for
3 alcohol problems. Every employer should have clear
4 policies regarding alcohol use and how to address
5 problems. Rules and consequences for breaking them
6 are an important part of such policies. But unclear or
7 unnecessarily punitive policies may discourage
8 employees from seeking treatment. The goal is to
9 strike a balance between the safety needs of the
10 employer and the health and well being of employees.

11 The mine industry resource manual on
12 alcohol and drug abuse already recommends the use of
13 employee assistance programs which link the workplace
14 with professional resources to help employees with
15 drug and alcohol problems. This is an excellent
16 recommendation. In general, employees helped by an
17 employee assistance program report fewer substance use
18 and mental health problems, fewer symptoms of poor
19 health, better job attendance and greater job
20 satisfaction. An EAP can also help create a health
21 promotion strategy to teach employees about safe
22 alcohol use, prevent problems before they develop and
23 identify problems before they become severe.

24 In addition, an EAP provider could also

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 help establish a program of routine screening and
2 brief intervention. This is a promising approach that
3 involves regular screening of patients and early
4 intervention if alcohol problems are identified.
5 Mines with on-site occupational health clinics could
6 easily implement this procedure and there is evidence
7 that doing so would save more than \$2 in health care
8 costs for every \$1 invested in treatment.

9 Thank you very much for your time. I
10 would be happy to entertain any questions. And we
11 have submitted a chart with results from the
12 Epidemiological Survey to Bill Baughman.

13 MR. SEXAUER: Any questions?

14 MS. CARR: Thank you, Eric.

15 Could you talk a little more about the
16 analysis of data that you mentioned in terms of -- I
17 was struck by the assessment that miners are 70
18 percent more likely to drive drunk.

19 And also, although I know that your
20 Institute focused on alcohol issues, I'd be interested
21 if you know of any additional analysis that could be
22 done on drugs as well as alcohol?

23 DR. GOPLERUD: Sure. The chart which is
24 in the materials, and I would be happy to share

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 additional copies, shows that using the data from the
2 National Epidemiological Survey on Alcohol and Related
3 Conditions, NESARC, that 39.7 percent of workers in
4 the mining industry report at least having once in the
5 last year driven drunk. This compares with 23 percent
6 of employees in other industries. So you've got 40
7 percent of people in the mining industry who report
8 drinking and driving.

9 In addition, 15 percent report having
10 legal problems or being arrested because of their
11 drinking. This is nearly twice the rate, 9 percent in
12 other industries.

13 We found the same pattern. We're reporting
14 the NESARC data because there are sufficient number of
15 people in the mining industry who were asked to
16 participate in the study that you can do reliable
17 statistical tests. We found the same patterns in the
18 National Survey on Drug Use and Health for 2002 and
19 2003, but because they didn't ask enough people in the
20 industry, we didn't feel we could make reliable
21 estimates.

22 Similarly, because each one of these
23 surveys has taken a look at the overall U.S.
24 population, there were not enough people who were in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the mining industry who reported illicit drug use to
2 be able to make statistically meaningful comparisons.

3 I think probably required of any academic is the
4 recommendation that additional study is needed. But
5 in this area it would be reasonable to consider a
6 survey using the methodologies of NESARC or the
7 National Survey on Drug Use and Health that might more
8 investigate these issues in more detail. Clearly what
9 we have found are consistent patterns of greater
10 alcohol problems and likely illicit drugs, but we
11 can't say for sure.

12 MS. CARR: On the National Survey on Drug
13 Use data, I know it's been reported that construction
14 and mining are among the highest that have alcohol
15 problems and drug use. My understanding is that those
16 industries were bundled, they weren't looked at
17 independently. If I understand correctly, you're
18 saying that for the NESARC data on alcohol there was
19 the ability to look specifically at miners, is that
20 correct on both counts?

21 DR. GOPLERUD: The way that the data are
22 sliced, it is possible in the National Survey on Drug
23 Use and Health to separate the mining industry from
24 construction industry. It is not possible to separate

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 people whose occupation is miners from people who are
2 in the construction trades.

3 So, yes, we were able to distinguish
4 mining as an industry in the National Survey on Drug
5 Use and Health. And the patterns that we found there
6 are consistent with the patterns of the NESARC.

7 MS. CARR: Okay. Thank you.

8 In terms of your discussion of the value
9 of treatment, one of the things that we've heard at
10 some of these meetings is data suggesting that
11 treatment effectiveness, and this is for alcohol or
12 other drugs, is low. Do you have any data showing the
13 effectiveness of treatment for alcohol and do you know
14 of similar data to show how effective it is for other
15 drugs?

16 DR. GOPLERUD: There is a very important
17 study that was released in *The Journal of the American*
18 *Medical Association* that Tom McCellan and others
19 wrote. I believe it was in 2002 which compared the
20 treatment effectiveness for substance dependence with
21 other chronic conditions and finds that it's
22 comparable, which is that with treatment you get
23 reasonable abatement of symptoms for between 40 and 50
24 percent of people who are engaged in treatment. You

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 get complete or almost complete remission, which is
2 comparable to the rates you get for depression,
3 cardiovascular disorders or diabetes. However, like
4 these other conditions when a person drops out of
5 treatment or disconnects or is disconnected from the
6 treatment system, their rates of relapse are not a
7 whole lot different than taking a person with diabetes
8 off insulin. Yes, with diet, exercise, careful
9 monitoring they can remain in control or with self
10 help they can remain in control. However, many people
11 relapse, the same with alcohol.

12 And we'd be happy to provide you with more
13 information about that.

14 MR. SEXAUER: Can I expand on that
15 question just a bit? In terms of what works, we're
16 interested in other areas. Training, perhaps. You
17 mentioned testing has been demonstrated to work to
18 some extent. Can you elaborate on either of those
19 two? We're particularly interested in specific data.

20 DR. GOPLERUD: I came primarily to talk
21 about alcohol issues, and one of the challenges of
22 alcohol, as you're aware, is that at best you can pick
23 up current intoxication. And there is clearly a hang
24 over effect where a person may not have currently

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 alcohol in their system, but are hang over and have
2 inhibited reaction times or poor judgment. Testing is
3 not particularly effective for that and certainly you
4 can't do preemployment drug testing for alcohol
5 because of the short periods of metabolism.

6 One of the things that we are working with
7 several large employee assistance programs is to use
8 the same kind of marketing techniques that are
9 currently used to figure out where to put a Starbucks
10 or which catalogues to send into your or my mailbox to
11 develop EAP promotional materials that will appeal to,
12 in this case, to young workers who use alcohol a lot
13 and who would never think of using an employee
14 assistance program. And so we are using the same kind
15 of market segmentation software to develop materials
16 that say an EAP is a reasonable thing for you to use.
17 It is something that engages you at the same level as
18 the beer advertisements that they see in their
19 favorite magazine.

20 So as far as directly responding to your
21 question, I'd be happy to send you additional
22 materials that we have developed about the
23 effectiveness of EAP and promotional materials.

24 MR. SEXAUER: I appreciate that. Can you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 talk about a screening just a little bit more? For
2 example, what would be a typical screening program?

3 DR. GOPLERUD: What is increasingly being
4 used are structured questionnaires. One of the best
5 and probably most useful for an industry that is
6 attempting to identify people who use alcohol in
7 hazardous and harmful ways is not the four question
8 cage that folks are familiar with, but rather a ten
9 question instrument called The Audit, A-U-D-I-T. And
10 what the National Institute for Alcoholism and Alcohol
11 Abuse is suggesting is one question in particular that
12 is sensitive to identifying problematic or hazardous
13 use. That one question is: How many times in the
14 last year have you on a single occasion drunk five or
15 more drinks if you're a male or four or more drinks as
16 a female? That one question and then with two
17 question follow-ups: One average how many days a week
18 do you drink, and on an average occasion how many
19 drinks do you drink? Is successful in about 80
20 percent of the time in identifying workers who have a
21 problematic alcohol use.

22 What has been found is that asking these
23 very simple straightforward questions is far more
24 successful at identifying alcohol problems than the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 sniff test; can you smell alcohol on somebody's
2 breath, or the question of "You don't drink a lot, do
3 you?" Much more successful is use of these
4 instruments. And the National Institute for
5 Alcoholism and Alcohol Abuse has just put out a
6 clinician's guide, which is a very brief guide on how
7 to use these screening instruments. And it's the sort
8 of thing that can be done easily in an occupational
9 health clinic, in an employee assistance program, in
10 health fairs. And Boston University, for example, has
11 it online as a computerize self-assessment using The
12 Audit. It's at alcoholscreening.org. And a very
13 reliable instrument and, again, anonymous and could be
14 easily used by the mining industry.

15 MR. SEXAUER: Any questions?

16 MR. BAUGHMAN: Hi. I'm Bill Baughman.

17 In an earlier email that you sent
18 requesting to speak you mentioned a couple of internet
19 websites, one of which was a connection to a
20 calculator, so to speak. It was a return on
21 investment, I think is the word, that you used. Can
22 you describe a little bit about what went into that,
23 the background of the papers? It looks like something
24 from maybe SAMSHA.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. GOPLERUD: Yes. Sure. Be happy to.

2 MR. BAUGHMAN: Sure.

3 DR. GOPLERUD: The product or the project
4 is the Alcohol Cost Calculator for Business. And it's
5 something that, in fact, Department of Labor has had a
6 link to for two years, at least. It's a project that
7 was developed by Insurance Solutions to Alcohol
8 Problems at George Washington University.
9 Parenthetically, I should state that Insurance
10 Solutions is funded by grants from the few charitable
11 trusts. So the work that we do is all in the public
12 domain, including the Alcohol Cost Calculation.

13 The calculator is based on our analysis of
14 the National Survey on Drug Use and Health and other
15 big federal epidemiological surveys.

16 What it does is provide a very powerful
17 but simple to use tool that businesses can use to look
18 at what is the cost and consequences of alcohol
19 problems to their employees and the family members of
20 their employees. And then also what would the return
21 on investment be to them, to their company, if they
22 increased the rates of screening and brief treatment
23 for alcohol problems.

24 What we were very clear about is that most

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of the world doesn't care a whole lot about alcohol
2 problems, per se. But they do care a lot as a
3 business about selling cars or producing construction
4 or new houses. And what we developed was using the
5 National Survey on Drug Use and Health and other
6 research is a way to put into their hands a tool that
7 can say based on what state they're in, the industry
8 that they're in and the size of their company how many
9 people are likely to have alcohol problems in their
10 workforce and the families of their workforce, what
11 it's costing them in extra days of work missed and
12 unnecessary excess health care costs, extra emergency
13 of hospital use, extra hospital days. And perhaps
14 more importantly, and this is the new part that we've
15 just released two weeks ago, if you increase the rates
16 of screening and treatment to something comparable to
17 what we currently do for depression, which is now
18 about 40 to 50 percent of people with depression. Or
19 for cardiovascular disorders or diabetes, which is
20 currently between 65 and 70 percent, what would cost
21 you and what would you expect in terms of reduced
22 health care costs within one year? And those we have
23 up on our website.

24 That website is alcoholcostcalculator.org.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And it's available, as I said, in the public domain
2 and it's all completely anonymous, free.

3 MR. BAUGHMAN: Do you have any practical
4 experience in the application of this calculator? Do
5 you have any idea how accurate it might be? I think
6 there were some references given underlying the data,
7 but there weren't really any confidence intervals, so
8 to speak. There was some statistical information in
9 there. But can you speak to how reliable this
10 instrument might be?

11 DR. GOPLERUD: Well, perhaps one of the
12 best ways of showing whether it's reliable or not is a
13 presentation that we have given and work we have been
14 doing with the U.S. Postal Service. For the last
15 three years we've been working with the U.S. Postal
16 Service to increase their rates of detection and
17 treatment of alcohol problems among their employee.

18 When we presented to their Health and
19 Safety Director and their Executive Vice President for
20 Human Resources the results of the Alcohol Cost
21 Calculator, we showed a number of missed days of work,
22 the excess costs. We actually got some push back
23 from them saying "Now the problem's even greater." In
24 some ways I think that's the best that you can get, is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that you have companies arguing with you that the
2 problem is even bigger than what you say it is.

3 So, yes, we have done studies with the
4 Postal Service and we have been working with big
5 health care companies. And we now are working with a
6 coalition of businesses, the National Business
7 Coalition on Health, which represents more than 70
8 state and regional coalitions around the country
9 representing about 7,000 companies and more than 35
10 million employees to look at the quality of the
11 alcohol treatment that's offered to their employees.
12 So it's a place that we're looking at also for
13 validation of our report.

14 MR. AUTIO: Many of the metal/nonmetal
15 operations are small and in the rural areas. In your
16 comments did you address anything for smaller
17 operators and what they can do as far as programs or
18 have any suggestions that some of the mine operators
19 maybe for EAP programs and others?

20 DR. GOPLERUD: I think there are several
21 things which are more challenges for small companies
22 than for rural areas. Many of the large employee
23 assistance programs work with nationally diverse
24 populations. So one that we're working with works with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 railroad. And railroad workers are all across the
2 country. What they've done is development of
3 materials, a lot of web-based materials. And then
4 looking for promotional materials would be placed in
5 the worksite or on bulletin boards.

6 The Small Business Administration for
7 quite a few years has had a program that has worked
8 with trying to bundle small employers so that EAPs can
9 be provided to their work sites. And I think the
10 Small Business Administration would be a place to go
11 to look for what their successes have been.

12 MR. SEXAUER: Any other questions?

13 Thank you very much. I appreciate your
14 coming.

15 Our next speaker will be Joe Isgro.

16 MR. ISGRO: Hello. My name is Biagio,
17 middle name is Joe, last name is Isgro.

18 My title is Vice President of Operations
19 for Safe Systems Corporation. We are based out of
20 Albany, New York. We are a third party administrator
21 and drug and alcohol testing program development
22 company.

23 I find that MSHA is coming into this in
24 the same light as SAMSHA and DOT did years back in '95

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and '96. We have several companies that we deal with
2 that currently fall under MSHA regulations. We manage
3 programs for some of these, some are large, some are
4 small.

5 As far as a problem, is there a large
6 problem in the mining industry? Well, I think we
7 really don't have enough data to go through and find
8 out whether it is in fact a large problem or a problem
9 that we can probably nip in the bud right now before
10 it does becomes a large problem.

11 I really didn't have a lot of time to
12 prepare for this meeting because I was out of town and
13 I wasn't able to attend your other ones. But I did do
14 some brief data and I did do some brief numbers out of
15 the companies that we currently deal with.

16 In the mining industry and ancillary
17 services such as trucking and people that work in and
18 around mines, we have roughly about a 20,000 employee
19 base in our database.

20 From year 2000 to 2005 out of doing either
21 pre-employment post-accident, random, reasonable
22 suspicion testing we've come up with 852 positive,
23 dilute and refusal of tests out of that database of
24 20,000 people. Those numbers are very high. When

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 some of the companies that we were dealing with that
2 did mining, we approached them about their program,
3 approached them about their numbers being alarming, I
4 got a phone call and a letter saying well we're going
5 to stop our program. We can't go any further. We can't
6 afford to lose people. And that's what I'm getting
7 from the industry.

8 A lot of people out there would rather
9 circumvent the program than spend the costs. And I
10 tried to make these costs analysis to these companies
11 regarding the benefits of cost wise, one worker's comp
12 injury basically pays for a company's entirely yearly
13 or biyearly program. Just one comp claim. We're
14 averaging in New York state comp claim is roughly
15 about \$7500 to start.

16 As we go forward we're educating people.
17 And I think hearing the speaker here from Georgetown,
18 I do agree with a lot of things he does say.
19 Education is of utmost importance at this point. What
20 we find out, though, that the educational process
21 sometimes goes to the wrong people. And one of the
22 obstacles, I would say, that we have run into over the
23 past years in implementing programs for MSHA regulated
24 companies is the operating engineers and other unions

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that have either boiler makers or steam fitters or
2 someone that they operate on there as their local and
3 within these mines.

4 It's not on a national level. I know that
5 the national level, the operating engineers and the
6 boiler makers and steam fitters and the amalgamated
7 unions all are for these programs. But we find as we
8 go into the local regions you have these smaller
9 locals that really aren't educated to how programs
10 work. Some don't want be educated. Some are
11 skeptical. And some are the old good ol' boy networks
12 where they're looking out for their people.

13 Again, I'm not speaking out against of
14 these people. I've met with several union leaders on
15 local basis. I've been invited to some of their
16 meetings and some of their negotiations.

17 The proper way I think, myself personally,
18 the proper way of doing this is to identify the
19 problem through training, education and minimally some
20 type of testing whether it be onsite testing, whether
21 it be clinical testing. Testing is going to be your
22 tool to get raw data as to what the problem really is.

23 I think the problem is a lot bigger than we think it
24 is, but we don't have access to these organizations

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 because it's not a regulatory demand. Companies that
2 are nonregulated have taken initiative on their own to
3 implement these programs. And most of the companies
4 that fall under MSHA regulations that have implemented
5 programs have followed the guidelines of 382, which is
6 the DOT regulations and 49 C.F.R. for the alcohol and
7 drug testing actual collection procedures and testing
8 procedures. Most of them use a five panel drug test.

9 We find out that marijuana and cocaine are more
10 prevalent than any of the other illicit drugs.
11 Alcohol, we really don't have a large database of
12 alcohol testing, but we do have positive results that
13 have come back on alcohol. I think alcohol is a
14 problem, but unfortunately what has happened is our
15 hands pretty much got tied as far as being able to
16 ascertain the problem's extent due to the regulations
17 changing their numbers.

18 When we first implemented this program
19 back in '95 and DOT decided they wanted to have all
20 people that were safety sensitive and CDL drivers that
21 drove vehicles over 26,001 pounds that they'd be
22 tested for drugs at 50 percent and alcohol at 25.
23 They kept those numbers in place for 22 years. And
24 then changed regulations to include 50 percent for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 drug. They dropped their alcohol testing down to 10
2 percent, because that was an industry standard. They
3 found out that the transportation industry did not
4 have a prevalent rate of alcoholism amongst the
5 professional drivers that have CDL licenses.

6 Does that mean that this is not a
7 prevalent problem in MSHA in the mining industry? I
8 think according to some of the documentation that was
9 made by the gentleman here from Georgetown, alcoholism
10 is a disease, you know, and people are affected by it.

11 And whether it's more in the mining industry or
12 whether it's more in the general workforce, I mean we
13 test companies that are either manufacturing federal
14 grant customers, people that do federal work, people
15 that do start work. It's not just one set industry
16 that we find that there's a prevalent rate of drug and
17 alcohol. But we haven't been able to get a foothold
18 on this mining industry or on any of these gravel or
19 sand pits or even so me construction companies, you
20 know. Some have voluntarily started programs.

21 Most companies that we deal with fall
22 under MSHA and OSHA and under DOT the primary
23 companies what they do is they test mostly for their
24 drivers, their DOT people. But they do have programs

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 in place for their non-DOTs. "Non-safety sensitive,"
2 but from what I'm getting out of a lot of this
3 paperwork that I'm reading, we really should be
4 considering these people safety sensitive. They're
5 driving big dump trucks, drilling machines, they're
6 using backhoes, blasting with dynamite. It just
7 doesn't involve people that are driving vehicles on
8 the roads. It does involve people in these pits.

9 It's very alarming that I had a large
10 employer with over 300 employees decide to stop this
11 program. That's alarming. I don't know, really, how
12 to go about even making sense of it. Why would --

13 MR. SEXAUER: Well, the reason was, the
14 reason they gave me for stopping the program was?

15 MR. ISGRO: The reason was that was he
16 getting too many positives and he had some key
17 employees that came back positive that were also
18 related to some of the higher ups in the business and
19 they didn't want to shake any of the leaders anywhere.

20 So they didn't want to knock these people out of the
21 box. But in doing so, what you're doing is you're
22 protecting a handful of people. You know, for the
23 five or six people that you're protecting, you're
24 jeopardizing the safety and the welfare of the other

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 300 on the site. Does it make sense? No, it doesn't
2 make sense. But, again, those are some of the things
3 that I've been coming against that I've seen as being
4 a third party administrator.

5 There are companies that do have a lot of
6 diligence. They do have, you know, the willingness to
7 go through and start these programs, make sure that
8 they have a safe work environment. Again not being
9 able to really totally prepare for this meeting in the
10 last minute, but in speaking to some of the employers
11 who have implemented drug testing, either pre-
12 employment, random or otherwise for their mining
13 companies or gravel or sand pits or companies that are
14 regulated by MSHA, their worker's comp rates have gone
15 down. Their rates of injuries have gone down. Less on
16 the job absenteeism, less absenteeism in general. I
17 mean, people are comfortable.

18 And it's not like the workforce is saying
19 well we are against this type of program. The
20 workforce is for it. It's just a handful of people
21 that grumble about it.

22 Again, getting back to education, getting
23 back to training. When I speak to a lot of these
24 companies, what I do say to them is make sure that the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 local unions are involved and they do have an
2 understanding of how this program should work. Let
3 them give you some input as to what they would like to
4 see done, EAP program, SAP program, you know training
5 for the employees, the written safety meetings. I
6 think if there's more education and more of these
7 companies understand that it's going to be beneficial
8 to them to have this type of program, and if the
9 unions understand that no, it's not a program that
10 we're placing into effect to say we're trying to give
11 you a hard time. We're not trying to go against
12 anybody. We're not trying to force this down your
13 throat. This is a safety issue. And you as a local
14 should be understanding and not fight this. And I
15 guess that's where the problem lies. We really don't
16 have a lot of education for these people.

17 So I've done several training seminars. I
18 trained for supervisor training for reasonable
19 suspicion. I've developed several policies for
20 companies for their drug and alcohol.

21 In setting up programs for these
22 companies, if you have a written statement or a
23 written policy for the general workforce in, like say,
24 a mining company or construction company and you hand

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 out these policies to each individual employee, at
2 that point the employee either has the ability of
3 reviewing it or not. You can't force it down anybody's
4 throat. But you do have the availability of giving it
5 to them to say this is what our company is going to
6 proceed with and here are your options.

7 Now, I mean, I'm looking at some of the
8 footnotes here that you have as far as the training
9 and the inquires for after accidents. But part of an
10 employee's training is reading that policy. In that
11 policy is information regarding the relevancy of what
12 the drugs will do to you. I mean, there's educational
13 materials. This is what marijuana does to you; it
14 affects your driving, it affects your thinking
15 ability, it's long term. The more abuse you have with
16 it, the more it's going to stay in your system. And
17 these are all in these programs and then these
18 policies are handed out to the employees along with
19 the alcohol portion of it also.

20 So if an employee comes up to you, and I
21 think a lot of employees don't understand that if they
22 do come to an employer and say, "Look, I have a
23 problem with drugs. I have a problem with alcohol."
24 Employees are fearful of going and approaching their

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 supervisors or their superiors because of the fact that
2 they might get fired or they might get laid off or
3 they might just be told not to come back. And what
4 they fail to understand is they are covered under ADA.
5 Okay. Under the American Disabilities Act if they
6 approached the employer previous to being tested. So,
7 in other words, if your employee has a problem and you
8 want to get help and you go to your employer, you're
9 going to get help and they're not going to fire you.
10 But they will probably have you sign some type of form
11 saying that you're going to have to go into a
12 treatment program. They give you X number of days to
13 a treatment program. And then possibly in conjunction
14 with that treatment, come back and sign a paper saying
15 that we can test you on a follow-up basis over the
16 next two years at our discretion. Okay. And this
17 gives these employees a chance to seek help. And it
18 doesn't just come for drugs and alcohol. It could
19 also be for marital issues, it could be for monetary
20 issues. You know, you can always go and approach your
21 employer.

22 But employees are not educated in that
23 portion. They don't know to do that. So I think that
24 in a policy, a written policy, everything is stated in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 there. It tells you exactly what is expected of you as
2 an employee of the company, what type of medications
3 you can take either prescription or nonprescription.
4 Prescription medications also come down positive. And
5 if they're not described to an individual that's being
6 tested, it's considered a positive.

7 MR. SEXAUER: How do you handle reasonable
8 suspicion in a policy?

9 MR. ISGRO: Well, reasonable suspicion in
10 a policy basically is each company designate certain
11 liaison or certain supervisors, or certain managers
12 that will be trained in the behavioral aspects or how
13 to go through and ascertain whether an individual
14 might be possibly under the influence of drugs and
15 alcohol. So we leave that up to the employer to have
16 individuals trained in that. We say you usually have
17 multiple people. You know, if it's a smaller company,
18 at least a minimum of two. If we go into a larger
19 company where there are 300 or 400 employees and
20 you're running three shifts a day and you've got
21 multiple superintendents, then you have at least three
22 or four for each shift. And there is a specific form
23 and a specific guideline that needs to be met. A
24 form, you know, that they show you that you have to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 keep an eye on this person for the behavior, you know,
2 whether they're tenacious to the job, if they become
3 an absenteeism problem, if they come to work
4 disheveled everyday. You know, you smell alcohol on
5 their breath, you smell marijuana in their clothes or
6 acting irregular at the job, their work patterns have
7 changed. I mean, it's a punch list of what an employer
8 or supervisor would see. And they would keep tabs on
9 these people, and not approach them, but take -- you
10 know, you might want to test somebody on reasonable
11 suspicion just on one specific incident if it's
12 something that you feel might exasperate the
13 situation. If there's true alcohol on someone's
14 breath. But if you see that there's a change in a
15 person's work pattern or there's a change in the
16 person's attendance, you know, you start keeping tabs
17 on these, keep them in a locked file which is
18 basically just one or two people have access to it.
19 Because it is confidential information. You just don't
20 want this floating around to every Tom, Dick and Harry
21 out in the plant.

22 And then if you start seeing a decline
23 over a period of time, you've got several documents.
24 And these are written documents that are signed by the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 supervisor, time dated incident numbers and different
2 comments that are on them. So if you have a stack of
3 these things together, once you've accumulated a
4 certain portion of problems now you can approach that
5 individual and say we would like to test you under a
6 reasonable suspicion.

7 Does it work? Sometimes it does and
8 sometimes it doesn't. And the reason it doesn't work
9 is because these supervisors or superintendents are
10 not willing to place their neck on the line to
11 approach an individual or to start this action because
12 of repercussions. You know, so that again is another
13 thing that's a continuing education problem for the
14 employer.

15 MR. SEXAUER: Can I ask you another
16 question? We've heard at other meetings that the cost
17 for these tests are anywhere from \$35 or \$40 to \$400 a
18 test. And that's quite a discrepancy.

19 MR. ISGRO: Yes.

20 MR. SEXAUER: Can you explain what the
21 general cost would be involved in getting drugs
22 tested, testing for drugs?

23 MR. ISGRO: To implement the program,
24 basically I guess the first action would be to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 promulgated a policy. And that would be sitting down
2 with the management and the owners of the company.
3 Starting the policy development and having input as to
4 what the consequences are going to be.

5 Policy development, depending on the size
6 of the company could be anywhere from \$450 to \$1500.
7 It goes according to company size. It goes according
8 to how much material is in the body of the policy.
9 Whereas a small company might not need a lot of
10 verbiage in that policy compared to a larger company
11 that has to deal with multiple unions or multiple
12 facilities that have to be incorporated into that.

13 As far as the meat and potatoes of the
14 policy, they stay the same. It's just putting the
15 additional addendums into it. A policy could range
16 anywhere from 15 to 26 pages depending on how much
17 material goes into it.

18 We also when we develop our policies, we
19 also place the educational aspect in there for the
20 employee, you know, as far as the signs and symptoms
21 and what the behavioral problems would be with all
22 these different drugs and alcohol is.

23 MR. SEXAUER: To do natural tests.
24 Someone's exposed or someone tests positive for drugs.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 What would that cost to do an initial test?

2 MR. ISGRO: To do the initial test? There
3 are different factors that come into that also, and it
4 also depends whether you want to do a five panel, an
5 eight panel or a ten panel test. If we stayed with
6 the five panel tests, which is the standard for the
7 DOT industry right now that they're utilizing, tests
8 can range anywhere from \$45 to I'd say about \$110
9 depending on whether it's onsite, offsite, clinical,
10 hair testing. I mean, if you're going into hair
11 testing, hair testing is definitely going to be a lot
12 more expensive. It's more time consuming. It takes a
13 longer time to get results back, you know, whereas
14 there are tests that can be done on site where they
15 have litmus paper tests, you know, testing cubs that
16 they sell. Accuracy wise, I don't think they're quite
17 as accurate as sending them to a natural lab. You
18 know, if you're going to send them to like a SAMSHA
19 lab that's regulated, then you're going to get the
20 obvious 100 percent result on that, or 99.99999.
21 Nothing is going to be 100 percent and nobody's
22 willing to stick their neck on the line.

23 But the test itself if you were to send,
24 go to a clinical setting, it depends. It could be a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 mom and pop shop that's going to charge you \$45 to
2 process the whole thing or you might have a company
3 that has to jump through a bunch hoops and send it to
4 a couple of different places, and they might charge
5 you \$125.

6 As a rule like with my company we do
7 onsite testing and we also have clinical. We have a
8 nationwide network that we deal with. So, we
9 predominately cover the northeastern part of the
10 United States when we go on site. So an onsite test,
11 you know, would range anywhere from \$65 to \$75
12 depending on travel time. But that includes the
13 processing of the specimen. That includes the medical
14 review officer, which all our results are reviewed by
15 a medical review officer. They're not just given to
16 the employer without a review, and that's the lab
17 costs and the collection costs.

18 You know, if you were to get a company,
19 say, a base company of 100 employees and say you were
20 going to implement this program. And I'm just using
21 these figures off the top of my head because I don't
22 have anything written down here. But implementation
23 of a program for 100 based company employee, saying
24 you're implementing a drug testing program, your

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 policy would run you roughly about \$750 to draft the
2 policy. Depending on whether you want to do baseline
3 testing to get everybody on a even keel to start off
4 with. I mean, baseline testing some companies will
5 come through, such as mine, and will give like a lower
6 rate to test everybody once to get a baseline and then
7 generate a random program from there. The numbers
8 don't necessarily have to meet the same as the DOT.

9 I mean, currently some of the numbers that
10 we generate are 50 percent for some companies, other
11 companies have gone down to 25 percent. You know, so
12 let's say on a -- you're going to go through and test
13 these individuals on a random basis and using the DOT
14 regulations as a landmark to work off of, you're doing
15 50 percent. So that's 50 tests. I'd say \$75, you're
16 looking at roughly \$4,000 there. You'll get another
17 750 to start a policy. You're at 4750. And then
18 management of the program is like \$15 per employee per
19 year. So it's another \$1500. So I'm saying roughly to
20 implement a program for a company with a 100 employees
21 and do all their testing for one year would be less
22 than \$10,000, which is almost the cost of one comp
23 claim.

24 So, I mean, ratio costs versus benefits,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 there's no comparison. I mean, most insurance
2 companies now what they're doing is because the
3 insurance industry has become so competitive, is they
4 have gone through and given discounts to a lot of
5 companies that are nonregulated regarding their
6 worker's comp. And some, like I think Travelers at one
7 point and Utica General was giving it, they were
8 giving like 2 percent or 3 percent off on their
9 worker's comp rate. And in the same sense what
10 they've done is a lot of these companies when they've
11 done that, have given themselves the drug free
12 workplace logo on their letterhead. Have considered
13 themselves a drug-free workplace, which it's a trickle
14 down effect. A lot of contracts and you're dealing
15 with federal, state and local government contracts,
16 they do most times require that companies that work on
17 their sites have a drug testing program in place or
18 drug test their employees. So when it comes to a
19 bidding or when it comes to a contract for bids, there
20 might be some verbiage in the actual body of the RIP
21 that says this bid would be considered for companies
22 that have drug-free workplace or drug testing programs
23 in place. So it does show some benefit. It's not just
24 benefit of eliminating a problem, but it's eliminating

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 a long term problem.

2 MR. SEXAUER: It probably would be helpful
3 to us and in our analysis of the cost and benefits of
4 this rule if it's possible for you to submit some
5 written analysis maybe showing some cost for different
6 size operations.

7 MR. ISGRO: Right.

8 MR. SEXAUER: You mentioned a 100
9 employees and maybe a small number.

10 MR. ISGRO: Yes. And some of the -- I
11 mean, I guess if you were --

12 MR. SEXAUER: And particularly in the
13 mining industry of the 20,000 employees in your
14 database or tests on your database, I'd be interested
15 to know how many of those were in the mining industry.
16 And if it's possible to submit any kind of analysis
17 that really is limited to the mining industry.

18 MR. ISGRO: Now mining industry, I mean
19 are we also go to include sand and gravel pits in
20 that?

21 MR. SEXAUER: Yes.

22 MR. ISGRO: And does that include the
23 transport of that material or just strictly the people
24 that are actually working in the pit?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. SEXAUER: When you say transport,
2 what--

3 MR. ISGRO: Well, there's transport within
4 the mine and there's also transport of that material
5 outside of the facility. Now, of those individuals
6 that are taking that material off their pit site to an
7 offsite location, will they also fall under dual
8 regulations?

9 MS. HONOR: I would say it's probably
10 better to be more inclusive in what you provide us
11 than not. And if it's something that is not useful to
12 us, then we'll determine it once we start going
13 through the information.

14 MR. ISGRO: The numbers that I did
15 generate out of that 20,000 roughly out of that 20,000
16 about 75 percent of it has in some way or fashion
17 involvement with mining whether it be in the general
18 production of the product, whether it be in in-house
19 transportation, whether it be over the road
20 transportation or in management.

21 See, what we've done is we've educated a
22 lot of these companies that are nonregulated that if
23 they're going to implement a program for drug testing,
24 they should it across the board for everyone. And

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that includes from management, ownership right down to
2 the janitor that's mopping the floor. You know, this
3 way there's nobody that's going to come back to you
4 and say "Well, why are you picking on me and not on
5 them? Just because I'm working in a mine doesn't mean
6 that this person that's sweeping the floor or the
7 mopping the floor doesn't have the same problems that
8 I do." So a lot of these companies that we deal with
9 have implemented the non DOT program to test all their
10 employees.

11 In some cases we do have certain companies
12 that just strictly do preemployments. Now, that's
13 great. We do testing for Wal-Mart's, we do testing for
14 Target, we do testing for Sears. All these companies
15 have preemployment tests.

16 If you go to any of these individual
17 companies and you look at their doors when you first
18 walk into the store, there's a big sign that says "We
19 preemployment drug screen all of our applicants." I
20 don't know if you've seen that before. They have these
21 signs right on the front of the door.

22 Preemployment drug screening is great.
23 But unless you have something that can reenforce that
24 preemployment testing phase, you might as well save

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 your money for preemployment testing. Don't even
2 bother spending the money. And the reason being is
3 you have so many individuals out there that know about
4 these tests, know that they're going to be
5 preemployment tested and what they going to do?
6 They're either going to abstain from their use or
7 they're going to try to flush out their systems. And
8 we see a high rate of that now because a good amount
9 of our preemployment tests that we're having coming
10 through are coming back dilute, diluted samples. Does
11 that necessarily mean that they're purposely trying to
12 flush their systems out? No. Could it be that they
13 drink a lot of water? Who knows? I mean, I can't
14 tell you exactly. But if I was a betting man, I would
15 say that they're probably trying to flush their
16 system.

17 You know, you got GNC stores, you go to
18 the Internet, if you punch in drug testing on the
19 Internet, the first thing that's going to pop up is
20 not how to start a program, not how to alleviate a
21 problem that you have in your household or in your
22 business, but how do we clean our systems out for the
23 test that we got to take. I mean, it's pitiful.

24 So preemployment drug screening is great,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 but it does have to have some type of reenforcement
2 behind it, whether it be a random program that mirrors
3 DOT or a random program, some type of random program
4 to bolster that preemployment phase.

5 MR. SEXAUER: You mentioned meth and
6 cocaine? I'm sorry. Not meth.

7 MR. ISGRO: Marijuana and cocaine.

8 MR. SEXAUER: Marijuana and cocaine as
9 being the two principle drugs --

10 MR. ISGRO: The two principle drugs that
11 we're getting right now.

12 MR. SEXAUER: What about meth and any
13 others?

14 MR. ISGRO: On occasion we'll get some
15 methamphetamines. The problem that we run into with
16 cocaine, methamphetamine, codeines, a lot of these
17 water-based substances is they don't stay in your
18 system that long. They're easily diluted and flushed
19 by your system. They're not long term substances that
20 stay in your system, such as marijuana. Marijuana can
21 stay in your system 30 or 45, 60 days depending on use
22 and how often you use it in quantities. Whereas,
23 methamphetamine or ice, codeine, amphetamine; these
24 specific drugs if you don't test them within a 48 to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 72 hour window and there isn't recurrent use of that
2 substance, you might not be able to detect it. So it's
3 a hit or miss kind of thing. But cocaine, like I said,
4 for being a drug that doesn't stay in your system for
5 a long period of time unless you have continual use,
6 we're showing a lot of cocaine positives, which is
7 scary.

8 Years ago cocaine was the drug of the rich
9 and people couldn't afford. Now what they've done is
10 they've got it in crack form, they've got in liquid
11 form, they've got in powder form. You can smoke it.
12 There is so much of it out in the market, and so many
13 people that have access to it that the pricing on this
14 stuff has dropped. So now the common person, even the
15 street people, can afford this stuff. I mean, cocaine
16 years ago was very expensive and now anybody can
17 afford it, whereas marijuana pretty much is marijuana.
18 I mean, you can't get around marijuana. It'll stay in
19 your system for 30 days.

20 MR. SEXAUER: Do you have any experience
21 with reduction in accident rates as a result of
22 testing programs?

23 MR. ISGRO: I have two large employers
24 that are in particular MSHA regulated. Without

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 specifically naming these companies, but they are
2 large employers in the northwest. What they've done
3 since they've implemented these programs they've had
4 fewer accidents. These are documented. Fewer
5 documented accidents. Fewer on the job injuries,
6 fewer fatalities.

7 One company in particular, again not
8 mentioning a name, we did do a post-accident test
9 after a fatality. It did come back positive.

10 So, yes, there is a problem in the
11 industry. I mean, I come out straight and forward and
12 say that. It's just we don't have access to getting
13 into that industry right now. Worker's comp claims
14 have dropped. In particular in Pennsylvania if -- and
15 I don't know if they've changed this recently, but as
16 of last year if you got hurt on the job and tested
17 positive, worker's comp could deny your claim. Now
18 unless they've changed that.

19 I mean, each state has a different aspect
20 of it. I know New York state was looking to enact
21 such a regulation inside their worker's comp law. But
22 does it mean that they're going to go through and do
23 it? They could give you a prorated portion. Worker's
24 comp is funny how the states deal with it. You know,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 each state has it's own little program. But I do know
2 that Pennsylvania at one point and they might still,
3 I'm not positive, but I think they might be able to
4 deny your claim totally if you tested positive after
5 an injury on the job, which is huge. That is huge for
6 a company.

7 Implementation of these programs is not
8 something that has to be difficult. It's a very simple
9 process, you know. And we look at all the paperwork
10 that we're dealing with here and all these different
11 questions and inquiries and this and that. Well, yes,
12 a lot of them are going to be in the dark until you
13 actually say I'm going to put my foot down, I'm going
14 to implement this program, I'm going to make it a
15 regulation. Then you're going to find numbers.

16 Does it mean that you have to keep these
17 numbers? No. DOT already showed you past practice
18 that if the numbers don't meet what they want as far
19 as percentages, they'll change their regulations, as
20 they did with the alcohol portion. They went from 50
21 percent drug, 25 percent alcohol to 50 percent drug to
22 10 percent alcohol. But this is all regulated I mean
23 on results, feedback that they get either from testing
24 laboratories or companies such as mine that report

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 data back to DOT.

2 Now, unless you have some type of baseline
3 to generate those numbers, you're never going to know
4 what the problem is. And that's where the first
5 initial step, the implementation of that program in
6 some fashion or another. And I would highly recommend
7 following the initial regulations and the initial
8 program setup of DOT because it does show that it has
9 in fact worked in the DOT and non-DOT industry across
10 the board, as it has done for transport. You know,
11 the railroad was the first organization to jump on
12 this drug testing band wagon and then everybody else
13 followed suit with buses and public transportation and
14 now trucking industry. Anybody that's doing over the
15 road CDL work. Next is this. And I can go ahead and
16 probably foresee that it's going to go into other
17 forms of trades; construction, electrical, carpentry.

18 We do have companies right now that we
19 have this type of testing program in place that are
20 under no federal regulations, no state mandates, that
21 have voluntarily set up these programs for their
22 companies. They have the insight of promoting a safe
23 workplace and they're probably on the bottom of the
24 list as far a potential for an accident compared to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 somebody that's in a higher area such as a mining or a
2 gravel or sand pits or heavy construction or road.

3 Just off the record, I'll give you an
4 instance. Back in 1997 before they were just about
5 ready to change regulations for alcohol testing for
6 CDL people. We were testing a company that works on
7 bridges and there was an individual that was operating
8 a 300 ton crane that was swinging 120 foot I-beams to
9 build a bridge over an interstate.

10 At 6:30 in the morning my driver went up
11 with a van, because we have a motor home that we test
12 in. We brought the individual into the van at 6:30 in
13 the morning. He blew in a .47. That's five times the
14 legal limits for a DWI. He was operating that crane
15 over a work crew of 15 people. No slurred speech.
16 Nobody even do anything because he was inside that
17 little bucket, inside his little cab on that crane at
18 6:30 in the morning. Confirmation test, we did it 15
19 later, was .46 which tells us that it wasn't
20 mouthwash. And he could possibly have been drinking
21 while he was in that vehicle, while he was on the job
22 at 6:30. That's scary knowing that this heavy
23 machinery does not just exist on our interstates, it's
24 all over the place.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I am open to questions. I'll answer any
2 questions you have, make suggestions. I mean, there's
3 a ton of things. I could talk all day long here about
4 this. I've been doing this thing for 11 years. And
5 I've seen them come and go.

6 MR. MacLEOD: You talked quite a bit about
7 training. Could you expand a little bit on what your
8 program entails? And I have just as a sidebar
9 question you talked about conducting a seminar or
10 training on reasonable suspicion.

11 MR. ISGRO: Right.

12 MR. MacLEOD: Interested in what the
13 supervisors feel about that responsibility of having
14 to identify reasonable suspicion and what feedback you
15 may have received.

16 MR. ISGRO: Well, it's funny that you're
17 asking. I mentioned that earlier in what I was saying
18 as far as the training aspect of who gets trained and
19 to what extent these people have a responsibility.
20 And, yes, we train supervisors and we train them
21 everything from the type of regulatory demand that is
22 required by their company to implementation of the
23 drug testing program, to review of their policy, to
24 review of symptoms, behavior. You know, signs and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 symptoms. Things to look for. You know, how to
2 document.

3 And, you know, I get a good response.
4 People come into these training seminars, they're all
5 gong ho and oh, yes, yes, we're going to back. And I
6 usually pose a question to these supervisors as to
7 well just for a second all the scenarios that I have
8 lead across these meetings and showing you in these
9 meetings or this seminar, can you pick one or two
10 individual out within your organization that might
11 fall under that now that I've opened your eyes to
12 this. And the light bulb goes on. And a lot of these
13 supervisors will, oh, yes, Johnny Jones, you know, I
14 really never even understood that and I never noticed
15 it. But now that you've clarified all this and you
16 made it simpler, yes, maybe Johnny does have a
17 problem. You know, he's been coming in late on
18 Mondays after Monday night football. He's been laying
19 around doing nothing at work. And we go and look for
20 him, Johnny's gone. On the job absenteeism, where is
21 he? I ask him to do something, and he got right in my
22 face and started screaming at me and yelling at me,
23 and his eyes are blood shot and his skin was all red
24 and his nose is all whiskey looking and everything.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Yes, I think Johnny has a problem and I think you've
2 made it clearer to me now by showing me these aspects
3 of your training. You've made it clear.

4 Part two of that, though, is trying to get
5 these supervisors to implement this reasonable
6 suspicion testing. And that goes back, again, to
7 whether it's a larger facility or a smaller facility.

8 If you're going to run into a larger facility, yes,
9 the supervisors or the superintendents or the manager
10 personnel are going to be a little bit more competent,
11 they got a larger workforce, they're more management
12 trained whereas they're not as close to the individual
13 that might be under suspicion. Whereas, a smaller
14 operation where you only have 10 or 15 guys and you
15 start taking this guy out, now you're going to say to
16 yourself "well, I'm going to feel like a heel because
17 I picked the guy out." Now what happens if he comes
18 back positive? I know him, I've known him for years,
19 I know his family, went to his house for picnics. You
20 know, we have outings together, family holidays
21 together. All of a sudden this guy comes back positive
22 and my policy says we got to terminate him. How do I
23 face that individual?

24 You know, so that's where you're running

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 into a problem as far as the supervisors implementing
2 that. But if you were to show them the good side of
3 it, and that's what I normally do on my training, is
4 that you're not doing this reasonable suspicion to
5 fire this individual. You're not trying to fire that
6 individual. What you're trying to do is you're trying
7 to get help for that person.

8 And, you know, there's a certain amount of
9 common sense that flows into this whole picture. And
10 the common sense is approaching that individual prior
11 to when he gets into a larger scale of a problem and
12 saying "Listen, Johnny, between you and me you got a
13 problem. Do you need help? If you need help, we'll
14 give you help." And that's what we try to instruct
15 these supervisors. Is not to be gestapo. Not to come
16 in with these hard tactics to try to get these people
17 -- what they're do is they'll revert. They'll shut
18 down on you.

19 If you approach somebody and you place
20 them on the spot, a little bit of sugar goes a long
21 way. If you try to place them in a situation that
22 they feel they cannot get out of, they'll shut down on
23 you. Become more aggressive and possibly become
24 physically abusive. So you got to use a little bit of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 tact. Does everybody in those role have tact or
2 professionalism? No. But you have to exhibit some.

3 So in the training aspect, yes. In our
4 seminars that we do we go over the whole ball of wax
5 to make sure that what happens on confrontation, how
6 to approach an individual, how to quell the problem if
7 it becomes unstable.

8 But even with all that education and all
9 that training, still there is hesitancy with the
10 supervisors conducting this type of testing. So, we're
11 working on it with a lot of companies.

12 MS. CARR: In terms of specific
13 substances, I wanted to ask you a particular question.
14 The current existing regulation in mining that's on
15 the books prohibits intoxicating beverages and
16 narcotics.

17 MR. ISGRO: Right.

18 MS. CARR: The Department of
19 Transportation addresses five drugs plus alcohol, and
20 yet you've mentioned seven panel and ten panel. In
21 your opinion what is the existing intoxicating
22 beverage and narcotics, is that inclusive enough?
23 What specific drugs would you recommend addressing in
24 order to impact and improve safety?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. ISGRO: Marijuana, cocaine,
2 amphetamines, opiates and PCP.

3 MS. CARR: And no alcohol.

4 MR. ISGRO: Well, those are drugs.

5 MS. CARR: Okay.

6 MR. ISGRO: And then you have the other
7 aspect, which is the alcohol aspect of it.

8 MS. CARR: Okay. So you wouldn't
9 recommend covering methamphetamine -- or
10 methamphetamine is covered --

11 MR. ISGRO: That's all under amphetamines.

12 MS. CARR: What are the other drugs in the
13 nine to ten panel --

14 MR. ISGRO: The other panels are more for,
15 they're more advanced testing that you would do with
16 someone, say, like if you're going to get a job with
17 NSA or get a job with the Federal Government where
18 you're going to be in a classified situation. They
19 want to really go into depth and really if you're
20 going to go into like methadone, methaqualone,
21 barbiturates. I'm trying to think.

22 MS. CARR: But does the five panel over
23 oxycodone?

24 MR. ISGRO: Yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MS. CARR: Okay.

2 MR. ISGRO: Yes. That's going to fall
3 under codeine.

4 MS. CARR: Okay.

5 MR. ISGRO: The five panel is a stringent
6 enough test. When you get into those drugs anything
7 other than marijuana and cocaine, you're going to fall
8 into a secondary aspect. Well, PCP, you might throw
9 that out with cocaine and marijuana. Those are
10 definitives. There's no ifs/ands or buts. You don't
11 get around those. When you get into the opiates and
12 you get into the amphetamines this is where there's an
13 area of controversy. Because if you come back positive
14 for opiates, it'll come back as a morphine. Does it
15 necessarily mean you're taking morphine or you're
16 doing heroin? No. There is a 6 a.m. test, they call
17 it, which is a more definitive test that breaks down
18 that morphine the heroin use itself.

19 Can people have prescription drugs? Yes.
20 Amphetamines, there's a lot of people that are on
21 amphetamines. If you take Ritalin or Adderal for ADHD,
22 those are amphetamine based. You know, codeine,
23 oxycodone, those are all pain killers but they're
24 prescription medications.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Can you be terminated for taking those
2 prescription medications? No. That's where the MRO
3 comes in. So there is no chance of a person being
4 terminated without justification. So in other words if
5 a test comes back to our facility and MRO reviews the
6 result, and it came back positive for codeine, we're
7 going to contact that individual and say well, your
8 test came back positive for codeine. Do you have an
9 explanation for it? And they can, yes, we do. We have
10 prescription from the doctor because I hurt my
11 shoulder last week and I've been taking it for pain
12 medication or pain management. Can we contact your
13 physician and can you show us a copy of your
14 prescription? Well, once that prescription is
15 verified, that test is no longer positive. It's
16 reported as a negative unless it meets or supersedes
17 the cut offs for abuse.

18 Now, some people can be on prescription
19 medications like oxycodone or codeine and can go
20 through and abuse them. We can also find that out
21 according to the levels and the limits that come back.

22 So it's a pretty safeguarded test all the
23 way around.

24 MR. SEXAUER: With those limits do you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 mean therapeutic limits?

2 MR. ISGRO: Right. Therapeutic limits,
3 right. So if you're on a 1,000 milligrams a day, you
4 know, and you metabolize, I don't have the exact
5 numbers. I'm not a doctor. But the doctor has the
6 exact cutoffs of where if you're going to take six
7 tablets a day and a 1,000 milligrams and it
8 metabolizes to X nanograms over a period of 24 hours.

9 Let's say, I'm using a hypothetical figure of 500
10 nanograms would be a therapeutic dose and all of a
11 sudden we're getting back a dosage of, say, 5,000
12 nanograms. Well, that's prescription medication abuse.
13 That's positive. It's no longer covered under that
14 prescription regulation. All right. So these are the
15 safeguards that we also have in place.

16 MR. SEXAUER: Any other questions?

17 MS. HONOR: Yes. I have a question for
18 you. You spoke some about the DOT rule. You said that
19 you believe that it's been successful. We had some
20 previous testimony on it at some of our hearings, and
21 not everybody came out on that side. They thought that
22 maybe particular elements needed to be updated or the
23 like. What do you think has made the DOT program
24 successful and what components do you believe have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 been successful? And conversely, do you believe any
2 of the components of that rule have not been quite as
3 helpful to creating the success in your words of that
4 program or that rule?

5 MR. ISGRO: You gave me too much at one
6 shot. Okay. I'll answer the first one for you.

7 MS. HONOR: Okay.

8 MR. ISGRO: And then maybe you can help me
9 along. I'm getting old and my memory isn't that great.

10 The DOT success rate, what has really
11 played a role in that is the preemployment testing
12 deterrence, education and ongoing testing of
13 individuals. You know, as a safety factor. Do we have
14 anybody that gives us any negative feedback from the
15 DOT regulated companies? Yes. I get a lot of
16 bellyaching about why do we have to expend this extra
17 cost, why is the Federal Government making me do this?

18 And you have to go through and you basically have to
19 instruct them on the reasons and educate them on the
20 reasons as to the factors that this program is in
21 place for a certain reason. I mean, DOT didn't just
22 come up with this off the top of their head and say
23 well we're just going to do this because we want to do
24 it.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MS. HONOR: Right.

2 MR. ISGRO: There was materials out there
3 that substantiated implementation of these programs,
4 as you are getting now for the mining industry. See,
5 back in '95 and '94 DOT was getting this for their
6 trucking companies, anybody with 50 or more starting
7 in 1995 and then in 1996 implementing a program for
8 all CDL users of vehicles over 26,001 pounds or above.

9 So there are still a lot of people out
10 there that don't even have programs in place for DOT,
11 and I'll give you one of the reasons behind that is
12 information. These companies that are small don't
13 have access to these regulatory demands.

14 You know, Johnny Jones that has four
15 triaxle dump trucks that operates six months out of
16 the year doesn't have anybody but him and his brother
17 operating with three other guys. How are they going
18 to find out about these regulations? The Federal
19 Government doesn't send anything to them, right?
20 Unless they belong to a trucking association or some
21 type organization or if they just happen to read the
22 newspaper one day or getting a trucking magazine. And
23 look at it and go, "Oh, this drug and alcohol testing
24 is supposed to be -- no, that's for big companies.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 That's not for us."

2 You'd be surprised how many companies to
3 date, 30 employees or less, that don't even know about
4 these regulations. Scary.

5 Here's your other attack. How are you
6 going to inform your smaller mines, your smaller sand
7 and gravel companies of this implementation? I would
8 like to see something a little bit more substantiated
9 than when the DOT regulations went in. Because people
10 did not have information enough to get this type of
11 program in place. And what has happened is over the
12 years auditors both on the federal and state level
13 have come into these facilities and have audited their
14 books to see if they have a policy in place, if
15 they're doing preemployment testing, if they're doing
16 random testing. And it's all generated -- like in New
17 York state it generated according to your accident
18 rate and violations over the road. Because when you're
19 going through these stops, these DOT road checks, you
20 know every time there's a violation with your vehicle
21 or an out of service tag gets placed on your vehicle,
22 New York state has implemented a CAD system where they
23 place those violations into a system and DOT can
24 review them. And I'm sure there are other states that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 probably have followed suit in that same fashion.

2 And what happens is, is they go through
3 and they take a company that is in that high risk area
4 because of violations, accidents or out of services
5 and say well we're going to do a spot check on this
6 company. And they walk into that company and they
7 find there's no records in place, and they fine them.

8 And some of the fines are, you know according to size
9 they might give them a small fine. Some might give
10 them a warning. On a state level you might get a
11 warning. On a federal level you might get a fine, or
12 vice versa. It all depends on what the mood the
13 auditor is in I guess that day when they come in to do
14 the audit.

15 But there is where the problem lies. How
16 do you inform this smaller employer, this smaller
17 mine? How do they gain access to this information? Do
18 we have a database of these people? Do they have
19 through permits? You know, do we have blasting
20 permits? Do we know how to identify these people?

21 Once we have identified these people,
22 let's spend the .37 cents and sent them a letter
23 saying this is what's going to happen as of this date
24 and you need to get this program in place.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. SEXAUER: Thank you. Joe, thank you
2 very much. And I'll remind you, if it's possible, to
3 give us a breakdown on cost for different,
4 particularly in the mining industry.

5 MR. ISGRO: Thank you.

6 MR. SEXAUER: We have one speaker left
7 that's scheduled to speak and then we'll open it up to
8 speakers in the audience.

9 What I'd like to do now before we call the
10 next speaker is take a ten minute recess and we'll
11 reconvene at 10:45.

12 (Whereupon, at 10:36 a.m. a recess until
13 10:48 a.m.)

14 MR. SEXAUER: The next person's name, so
15 if I get it wrong, please excuse me, is it Reginald
16 Geer? Is it Universal Services.

17 MS. CARR: No, it's Benjamin Gersons.

18 MR. SEXAUER: Oh, excuse me.

19 MS. CARR: That's who signed up on the
20 speaker list.

21 MR. SEXAUER: Oh, okay. Not a problem.
22 Okay. We have you signing up on the wrong list. Not a
23 problem. We would be happy to receive any written
24 comments from you if you like, as with everyone else.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 The next speaker Adele Abrams.

2 MS. ABRAMS: Good morning. My name is
3 Adele Abrams, and I'm a certified mine safety
4 professional and also a practicing attorney and
5 President of the law office of Adele L. Abrams, P.C.
6 in Beltsville, Maryland.

7 In my firm I represent mine operators,
8 mine supervisors and I also deal with construction
9 companies in activities involving MSHA and OSHA. I
10 also am a MSHA approved trainer and under Part 48 and
11 do perform Part 46 and Part 48 training for
12 companies. And I also have completed the OSHA training
13 course to do the 10 and 30 hours construction
14 training.

15 I wanted to speak today because this is an
16 extremely important subject. Back in the early 1990s
17 when I was on staff with the National Stone
18 Association I was a member of the tripartite group
19 that MSHA had convened on substance abuse prevention
20 in the mining industry. And that group was able to
21 produce a number of work products that I think have
22 been beneficial in spreading the message throughout
23 the mining industry.

24 I also recently in October 2005 gave a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 presentation at MSHA's TRAM conference at the Mine
2 Academy on substance abuse prevention in the mining
3 industry. And I'll be pleased to submit a copy of
4 that for the record if it would be beneficial.

5 MR. SEXAUER: We would like that. Thank
6 you.

7 MS. ABRAMS: Yes. I had not intended to
8 give a statement today and I want to make it clear,
9 though, though I am a member of a number of mining and
10 professional associations, I'm speaking in my personal
11 capacity today. But there are a few things that I
12 wanted to touch on that I think are relevant to this.

13 The first has to do with the issue of
14 training. I am a trainer and already I have included
15 substance abuse information in the training that I do
16 for annual refresher as well as new miner training.
17 This falls within the other subject section. And I
18 cover that just as I cover issues of worker fatigue,
19 distraction and other things that can impair behavior
20 on the job and safe performance. And even absent a
21 rulemaking, I certainly would encourage MSHA to
22 recognize that this is a safety related subject and to
23 permit trainers to include that in the curriculum to
24 satisfy the 24/40 new miner training requirements and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 as part of the annual refresher training. Because it
2 is a very important subject and it can save lives.

3 I also want to put on the record that I
4 represent a number of very small mines in activities
5 involving MSHA. And some of these are really small.
6 We're talking a one person operation or a couple.
7 I've represented a number of farmers who are in New
8 York state and Pennsylvania who extract some blue
9 field from their fields. And because they sell that
10 blue stone into commerce they are considered mines are
11 regulated by MSHA.

12 It is going to be extremely difficult for
13 these companies, arguably companies, mines that only
14 employ family members or literally are selling
15 material out of their backyards to put a formal
16 program in place. And I'm not suggesting that there
17 should be a double standard, but I am suggesting that
18 there's going to be a lot of work ahead for MSHA Small
19 Mines office in order to assist such companies in
20 putting programs in place, especially if MSHA elects
21 to mandate drug testing. Because effectively you're
22 going to have a husband and wife eventually testing
23 each other. It is going to come down to that level.

24 Another thing that I wanted to bring up is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the conflict of law issue. Many companies, as you've
2 already heard, do testing under the DOT programs. And
3 I would hope that anything MSHA might put into place
4 will harmonize with that so you don't create a
5 bureaucratic nightmare where companies are having to
6 administer two programs where they may only have, you
7 know, 15 employees to begin with.

8 In addition, I do employment law. And
9 there are a number of federal statutes, the Americans
10 With Disabilities Act, the Family and Medical Leave
11 Act for starters that have some implications with
12 respect to drug and alcohol testing. The issue of
13 pulling people out and taking adverse employment
14 action against them because they are regarded as
15 addicts, they are regarded as alcoholics; whether or
16 not that suspicion proves to be true or false. And so
17 I would hope that again there could be some
18 harmonization in the information that is presented to
19 the mining industry to make sure that by trying to
20 comply with an MSHA requirement these companies are
21 not inadvertently running afoul of these other
22 statutes and finding themselves in legal trouble.

23 We've heard testimony about formal EAPs,
24 which I think are an excellent idea. But, again, when

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 we're dealing with small companies I think it's
2 important to not overlook the free resources that are
3 out there. In particular, the programs with
4 Alcoholics Anonymous and Narcotics Anonymous and for
5 family members the program of Al-Anon. There is no
6 charge for these programs. They are extremely
7 effective. The judges in the states that I practice
8 law in routinely "sentence" drug drivers and people
9 even in domestic violence cases to attend AA meetings
10 as an alternative to incarceration. And I think the
11 medical community would verify that people who
12 regularly attend AA meetings or NA meetings after
13 they've perhaps completed an in-patient or an out-
14 patient formal program have a much grater rate of
15 maintaining sobriety or abstinence from drugs.

16 There are websites that list meetings for
17 these organizations all over the country, and perhaps
18 MSHA could consider putting links to those websites on
19 the MSHA website.

20 The other thing that those programs offer
21 is the complete anonymity, as is inherent in its name.
22 It does not require an employee to self disclose to an
23 employer in order to get some help. So that can be
24 part of the education function here.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I wanted to also address the issue of the
2 accident investigations as asked for in your
3 rulemaking, whether or not the findings concerning
4 alcohol impairment or drug impairment should have to
5 be determined by the employer and included in the
6 reports that are required under 50.11. I think any
7 root cause investigation, and I'm putting my safety
8 professional's hat on now, does certainly require an
9 inquiry into all causes both approximate and indirect
10 for an accident. But you need to understand that it
11 is very difficult at times for the employer to get
12 that information. And the previous speaker mentioned
13 the fact that worker's compensation schemes often will
14 bar an employee or their estate from coverage if the
15 worker is found to be impaired. And this is a very
16 state-by-state specific analysis. Because each state
17 administers its own worker's compensation programs.

18 But I would submit that a family who knows
19 that their beloved, who has now died in a mining
20 accident, was impaired is going to, perhaps, withhold
21 that information from the employer in order not to
22 have the benefits disqualified. Workers themselves
23 might be quite resistance to voluntarily undergoing
24 testing if they know that they're going to be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 disqualified from worker's comp benefits.

2 As a practical matter, even aside from
3 those worker's comp consideration, families may have
4 religious or other considerations why they do not want
5 autopsies performed. Sometimes the state will mandate
6 autopsies, other times it does not. And I have had a
7 number of cases where it has taken forever to get
8 autopsy reports.

9 I had a construction fatality case last
10 year, and it did turn out that the victim in that case
11 was under the influence of methamphetamine at the time
12 of the accident. But it took many letters, it took
13 subpoenas and a whole lot of work to finally get the
14 autopsy report. And given the turnaround that MSHA
15 expects the employer or the mine operator to prepare
16 these accident reports, it may be a practical
17 impossibility to always include that information. So
18 while companies should be encouraged to include that,
19 I would hope that a company would not be penalized if
20 that information is difficult for them to get because
21 of the legal reasons that I've just mentioned.

22 The other thing is, of course, under
23 Section 104 of the Mine Act MSHA can issue a citation
24 if they believe that a violation has occurred. And by

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 self reporting in an accident investigation report
2 that it was found that an employee was under the
3 influence of alcohol or under the influence of drugs,
4 that effectively is a disclosure that could itself get
5 the employer cited under 56.20001 or analogous
6 standard if you created a new one for coal. I
7 would hope that MSHA would exercise prosecutorial
8 discretion in those circumstances and not penalize a
9 company that has taken all appropriate steps to
10 implement programs and to monitor its employee simply
11 because somebody on an autopsy report does turn out to
12 have been under the influence.

13 The last thing I will say is that there
14 were no questions asked in your advance notice of
15 proposed rulemaking concerning contractor issues. And
16 I think this is a very big consideration, and I would
17 certainly urge you to solicit comment on this in any
18 proposed rule. There are models, of course, under
19 Part 48 and Part 46 that tend to hold the mine
20 operator primarily responsible for safety at the mine
21 and for ensuring that anybody coming on site has
22 received training. And it does, of course, require
23 contractors as mine operators to have the training for
24 their own employees.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 This is new waters that we're diving into
2 here. And an employer, a mine operator is not going
3 to be able to perform drug testing on someone else's
4 worker. It's going to be a practical impossibility.
5 So there needs to be some way to reconcile, you know,
6 perhaps through prequalification of contractors to the
7 extent that that is feasible to ensure that
8 contractors are held responsible for their own
9 programs, mine operators are held responsible for
10 their own programs. Otherwise, you're going to again
11 be creating a contractual nightmare.

12 Just in closing, I want to say that I've
13 handled far too many fatality cases and investigations
14 in the 15 years or so that I've been involved with the
15 mining industry. And although I would almost think
16 I'm unshockable at this point, I continue to be
17 shocked by the high incident rate that I see of
18 alcohol and drugs being factors in these fatal
19 accidents. And what is perhaps even more alarming is
20 that there have been times when this has been
21 disclosed to MSHA and there have even been companies
22 that have urged MSHA to issue a citation under the
23 applicable standards so that they could go back and
24 use this for enforcement and disciplinary reasons at

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 their mines and also in their training to show what
2 the consequences are.

3 Of the statistic that you put in your
4 rulemaking proposal that there have been 75 citations
5 issued in about five years shows, I think, that there
6 has been a certain laxity in enforcement of this. You
7 know, I probably have clients who would want to beat
8 me up for suggesting that MSHA write more citations,
9 but what I'm trying to say is that there are rules
10 already on the books that are perhaps are not
11 thoroughly enforced and that might be something to
12 consider before adding yet another layer of
13 requirements to the existing regulations.

14 So those conclude my comments, which were
15 not prepared, but I will submit a copy of the
16 presentation that I did for the TRAM conference,
17 especially because that does get into some of the
18 other federal statutes that could be implicated in
19 setting up programs. And I would ask, would you like
20 the paper copy now or would you prefer for me to
21 submit that electronically to you?

22 MR. SEXAUER: Your pleasure.

23 MS. ABRAMS: Okay. I'll go ahead and
24 submit it electronically then. I think that will be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 easiest.

2 So I'll be happy to answer any questions
3 you might have at this time, and thank you for your
4 consideration.

5 MR. SEXAUER: Thank you, Adele.

6 I can appreciate your concern about small
7 mine operators and the costs that are involved. Do you
8 see any advantage or possibility in the consortium of
9 small mines getting together to handle drug testing
10 that may be required?

11 MS. ABRAMS: I think that would be an
12 excellent idea. And, in fact, that is something that
13 I have suggested to some of the mining organizations
14 that I'm involved with. It may be possible for some of
15 the state mining associations to set that up.
16 Because, frankly, the little guys that I'm talking
17 about probably do not have the resources to belong to
18 the larger associations like the National Stone, Sand
19 and Gravel Association or Industrial Minerals
20 Association of North America or National Mining
21 Association. Just because of those dues levels. They
22 may be able to do it, though, through a state
23 organization or even something regional. And I would
24 also put a challenge back to MSHA to perhaps raise

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 this idea through some of the local home safety
2 association chapters and gauge what interest there
3 might be to see what might be able to be put together
4 on a regional basis.

5 MR. SEXAUER: With respect to contractors,
6 we have that testimony in several of the meetings on
7 the issue of contractors. But we would certainly
8 welcome any additional comments you might have in that
9 area.

10 MS. ABRAMS: Sure.

11 MS. CARR: I just have a question about
12 the contractors. You mentioned that it was a practical
13 impossibility for operators to perform drug testing on
14 contractors. And if I'm not mistaken, it seems like we
15 have had folks reporting that they do in fact do that.

16 Could you describe what the practical
17 impracticalities are or if there are any legal issues?

18 MS. ABRAMS: And I think I will respond to
19 this, and I want to clarify that I think there are
20 different classes of contractors. We have some mines,
21 especially in the coal industry where you have
22 absentee owners and effectively contractors are
23 running those mines. Then on the other side of the
24 equation you have specialty contractors who may come

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 in and they're only going to be present at a mine once
2 a month where they might come in and be there for two
3 or three days total, perhaps they're doing some
4 electrical repair work. They do not normally work
5 within the mining industry. They are not performing
6 mining extraction and production functions. They are
7 doing the same type of work that they would do in a
8 general industry facility, you know, a manufacturing
9 plant or whatever.

10 You might have somebody who is coming very
11 briefly to put a roof on a scale house or to calibrate
12 the scales periodically. And when those people are
13 coming you don't really know who they're going to
14 bring in advance and they're going to be there for
15 just a brief moment in time. And to try to set
16 something up when your power has gone out that before
17 they came on site you have to get a mobile unit there
18 and who is going to pay to test these electricians
19 before they can perform the rewiring that's necessary
20 to get your crusher working again. Those are the type
21 of impracticalities I'm talking about.

22 And, you know, we have had attention even
23 in the training requirements on this. If you're in a
24 rural area and there's only one plumber and you need a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 plumber to come in and they say well heck no, I'm not
2 going to put my people through new miner training so I
3 can come and work at your site for a day, you know,
4 you're then without a plumber who can do this work.

5 So I think to the extent that you are
6 going to look at applying this rule to contractors, it
7 may be useful to at least narrow the scope of it to
8 those individuals whose workers are considered miners
9 who are subject to the new miner training who are
10 going to have a lengthier or more substantive presence
11 on the site. And then, again, I encourage my operators
12 to include in their prequalification of contractors
13 questions like do you do drug testing, do you have a
14 program and request copies of those programs. I
15 think, you know, contractors are always going to be
16 the weakest link in your safety change, and so you
17 would want to exercise due diligence in the substance
18 abuse presentation area just as you would in other
19 areas of safety in terms of knowing who is coming onto
20 your site. But for these short term emergency type
21 projects, it may be a practical impossibility
22 especially with regard to do any kind of testing prior
23 to somebody's entry into the mine site.

24 MR. MacLEOD: Adele, just keeping your

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 training hat on for the moment and going back to what
2 you talked about in terms of Part 46 and Part 48, do
3 you think there's any need for MSHA to include
4 additional regulatory language that would address the
5 issue of drugs and alcohol abuse or do you think the
6 existing framework is adequate to cover necessary
7 training?

8 MS. ABRAMS: And again, let me remind you
9 that I am testifying in my personal capacity and not
10 on behalf of any of your organizations that I belong
11 to.

12 Personally, I think that there's no need
13 to change the existing regulatory language in Part 48
14 and Part 46 because the framework already provides for
15 other subjects that deal with safety and health at the
16 mine. And I think that is a broad enough tent that
17 substance abuse and alcohol abuse prevention and
18 identified in terms of the supervisor training, which
19 is extremely important, can be worked into that. And
20 I think that MSHA could very easily put out something
21 through its policy and guidance mechanism to explain
22 to trainers that they can credit that information when
23 it is included as long as it is counted toward the
24 time in those other subjects and not through the other

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 seven or eight statutorily required subjects.

2 MR. AUTIO: You've worked with some of the
3 small mine operators. Have had any of them had drug or
4 alcohol programs that you've worked with, and if so,
5 have worked at all been effective?

6 MS. ABRAMS: The small mining companies
7 that I'm talking about, and now I'm talking about five
8 or fewer employees which is how MSHA defines them, I'm
9 not aware of any of them having programs. I'm also
10 not aware of any of them having problems, you know,
11 which is not to say that none of their workers or
12 family members drink. But drugs, at least, do not seem
13 to be an issue with these operations. And they're
14 mostly in the rural areas and, as I said, many of them
15 are farms are just people who are selling some sand
16 out of their backyard and happen to have bought a
17 screen. So that has morphed them into a mine. They
18 generally also are not being reached by homes and some
19 of these other groups. I mean, they are periodically
20 inspected by MSHA, usually they're considered
21 intermittent operations and maybe are inspected once a
22 year. And so it may be that MSHA can do some outreach
23 in terms of education in that regard since they may be
24 the only contact that they have. But it is going to be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 very difficult for these companies to get something in
2 place if it is mandated as a matter of fact.

3 MR. AUTIO: Thank you.

4 MS. HONOR: Yes, I have a question. We
5 received some testimony, I think it was in Birmingham,
6 where an individual spoke and said that in some
7 respects implementing the actual paper for a drug-free
8 workplace program may be as easy as going onto a
9 computer and going through a module where, you know,
10 you put in some specifics that relate to your
11 operation that are unique to your operation and you
12 come out with a program.

13 There was also some testimony about having
14 SAP professions instead of having EAP programs. A few
15 acronyms for you there. And I can't quite remember
16 what SAP stands for.

17 MS. ABRAMS: Substance Abuse Professional,
18 it's a term in the Department of Transportation.

19 MS. CARR: Okay. Where the cost of
20 rehabilitation is essentially passed on to an
21 individual as opposed as to having a small operator
22 pay for the cost of rehabilitation. Do you have any
23 specific thoughts on either one of those?

24 MS. ABRAMS: Well, the first thing, I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 would absolutely recommend that MSHA is they're going
2 to go down this road put some kind of model program
3 together, just as they have for HAZCOM, for example in
4 Part 46. On their website. That can be downloaded by
5 these smaller companies and then customized. But
6 don't lose sight of the fact that there are still a
7 whole lot of mines out there that do not have the
8 computer capabilities.

9 I have clients that have to drive 20 miles
10 to fax me something, you know. I have clients that
11 don't have indoor plumbing at their facilities. So to
12 assume, as many of us do because computers are a part
13 of our everyday life and we can email from our cell
14 phones, that everybody has this access. I think it's
15 still premature for at least parts of the mining
16 industry.

17 In terms of passing the costs along to
18 workers or to the individuals receiving treatment,
19 which I think is what you're asking about with the
20 SAPs.

21 MS. CARR: Right.

22 MS. ABRAMS: That's attractive in one
23 regard, but I think you have to look at the totality
24 of this. Are you mandating that an employee go

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 through this and say and we're going to charge you for
2 the pleasure, but in return you'll get to keep your
3 job. I mean, that may be a trade off that an employee
4 is willing to take.

5 And, you know, there may be some
6 situations where in-patient is not going to be
7 required. Often the model, for example, for marijuana
8 users is not to use in-patient, it's to use out-
9 patient treatment followed by going to CDA, which is
10 kind of a sister organization of Narcotics Anonymous,
11 but is more for the "recreational" drugs.

12 You know, even with alcohol although
13 people who are daily users may have to go in-patient
14 for detox because it can be life threatening. People
15 who are binge drinkers may be able to just do out-
16 patient treatment. And insurance often will cover
17 that. The problem is there are a whole lot of
18 uninsured workers out there. And the cost of these
19 things can be extremely expensive. So if told well you
20 can keep your job but since we don't have insurance on
21 you, you're gong to have be paying \$15,000 for this
22 program, I think many workers will walk rather than
23 try to keep their jobs and then be in that level of
24 debt in order to go through a mandated rehab program.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 It would be useful, though, and this may
2 not be something MSHA can embark on, but many counties
3 do offer free programs for people who are in economic
4 need. Montgomery County Maryland, for example, has an
5 institution called Avery Road Treatment Center which
6 is a 100 percent free for people who are employed who
7 are minimally employed and who do not have insurance.
8 The problem is there can be a waiting list of anywhere
9 of three weeks to three months to get into one of
10 these programs. And I'm sure there are other counties
11 throughout the country that have similar things. But,
12 you know, if there's a way for either Department of
13 Labor, because this obviously is mine specific but for
14 DOL to put some kind of clearinghouse together that
15 would identify these low cost or no cost programs for
16 uninsured workers, that might be something that would
17 be a great utility.

18 MR. SEXAUER: Any other questions?

19 Adele, thank you.

20 MS. ABRAMS: Thank you very much.

21 MR. SEXAUER: Okay. We have no other --
22 yes, Joe?

23 MR. ISGRO: Can I just expand a little bit
24 on what Adele just said?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. SEXAUER: Sure. Come on up. Would
2 you state your name again for the record, please.

3 MR. ISGRO: Yes. Biagio Joe Isgro.

4 In expanding on what Adele said here
5 regarding the smaller mines and the little mom and pop
6 facilities. There are programs already in place
7 currently under the DOT regulations for consortiums.
8 And what we do is we as a company and other TPAs such
9 as ours have consortiums that deal with smaller
10 organizations. And that's from the owner/operator all
11 the way up to companies that have either five to ten
12 employees.

13 So this would be a cost efficient program
14 for a smaller company that could not afford to put a
15 full blown program in place. And what you would do is
16 you would get all companies of like size and by region
17 and just incorporate them into one set pool and charge
18 them a flat rate for the year. And then promulgate a
19 policy for that consortium that all the people in the
20 consortium will go along with and give them a couple
21 of options to either buy out or not. And that buy out
22 option will be, as Adele was saying, regarding SAP
23 services.

24 Now in some of the policies that we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 developed for companies where there is specific
2 verbiage in there regarding who pays for substance
3 abuse programs, who pays for following up testing, who
4 pays for return of duty testing, so and so forth or
5 any ancillary services that go with that. And as a
6 rule most of the companies that we deal with as a
7 company pass the SAP cost on to the employee. And I
8 guess you did the crime, and now you're going to do
9 the time. And that's part of your keeping your
10 position with the company is now we're going to allow
11 you to keep your job, but as part of keeping your job,
12 you're going to have to go through and take up the
13 cost of this program to keep your job.

14 But then there are, again, other
15 organizations out there. In most cases SAP services,
16 there's a list of them on the left that are approved
17 by the Department of Transportation. There is also
18 local organizations that fall under Office of
19 Substance Abuse and Alcoholism under all different
20 states. Each state has their own OASA office, which
21 is Office of Alcohol Substance Abuse. They have a list
22 of providers, either public providers or private
23 providers.

24 On the public levels there are Alenons,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 there's certain charity organizations that give these
2 substance abuse professional evaluations and out-
3 patient services granted. If you have to go into an
4 in-patient services, most employers have insurance
5 that the employee has with them. If they don't have
6 insurance, they could possibly get into like a
7 deferment program with some other organization.

8 But as a rule most health insurances will
9 pay for substance abuse evaluations and for treatment.
10 It's all a matter of what insurance you have and it's
11 all a matter of how the provider writes up the
12 criteria for it. Because an insurance company will
13 kick something back if it just doesn't have the right
14 letter dotted or cross the Ts or something. But most
15 SAPs know how to deal with that.

16 In regards to what Adele had said about
17 contractors. We currently and in the DOT regulations
18 it also states that any employer that has individuals
19 that come onto their property that fall under those
20 regulations must make sure that those companies have a
21 program in place prior to coming onto their facility.

22 I would say the same would go for any MSHA regulated
23 facilities. If you're a contractor that goes on that
24 site that is regulated or should be regulated, i.e.,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 whether they're transporting materials or whether
2 they're working in a safety sensitive position.
3 Safety sensitive, i.e., if I got an electrician
4 working on my conveyer belt that could possibly have a
5 problem with it and it's a person that we use. I
6 mean, most companies if they use an electrician, they
7 use a person to do their maintenance for their
8 facility that could possibly be in an area that could
9 affect someone's safety, they usually use the same
10 people. So they could say in this case all right if
11 you're going to have X number of people that are
12 coming on my site to work on our machinery whether you
13 work on our trucks, our bulldozers, our drilling
14 machines, our conveyer belts so and so forth, or
15 silos, then we can either put that employee, that
16 specialist in our program with us or you're going to
17 have to show us proof that you have a program in
18 place. Now, nine times out of ten that contractor
19 you're using for a safety sensitive emergency
20 situation will be the same contractor used on an
21 ongoing basis. All right.

22 So do you have to pass that cost on to the
23 contractor? No. But if you have a set number of
24 individuals, say that company has four or five

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 electricians or four or five welders that come into
2 your facility, take those four or five people and put
3 them in your program. And this way you're guaranteed
4 that those people in a program, either that or show
5 written proof.

6 In a DOT case right now we have companies
7 that we manage that we request paperwork from, they're
8 contractors. So if they're hauling materials for
9 X,Y,Z gravel pit and you have triaxle trucks coming
10 in, you're going to show us a copy that you -- there's
11 a form we have that you have to fill out this form
12 saying that you're with a drug testing company, you've
13 done drug testing over the past six months, positive
14 rate so and so forth, and you meet the specifications
15 in the 382. And then that sheet comes back to us, we
16 put that in a file folder and this is the company's
17 insurance that should something happen, they're going
18 to say well X,Y,Z contractor gave me a copy saying
19 that he has a drug testing or she has a drug testing
20 program in place. That suffices to have that person
21 come on site.

22 So you have a couple of different options.
23 Either place a contractor in a small cases basis into
24 your program, or have a contractor submit proof that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 they have a program in place.

2 MR. SEXAUER: Thank you.

3 If there's no other speaker signed up,
4 would anyone in the audience care to address the
5 group?

6 MR. BAKER: I guess I'll go first.

7 My name is Tim Baker. I am the Deputy
8 Administrator for Occupational Health and Safety for
9 the United Mine Workers.

10 I am pleased to be able to testify here
11 today and give you the perspective of the United Mine
12 Workers.

13 MR. SEXAUER: Excuse me, Tim. I'm sorry.

14 Can the people in the audience hear what
15 he's saying. Okay. Go ahead.

16 MR. BAKER: Like I say, I'm pleased to be
17 here. And I think to a certain extent clarify the
18 position of the mine workers. I think that there have
19 been some published reports from different areas in
20 the country where we have either had some minors or
21 some representatives giving their feelings on what
22 MSHA should and shouldn't regulate. But clearly at the
23 international level and through our regions and
24 districts, we're looking at the situation carefully

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and kind of reviewing introspectively how we look at
2 this situation.

3 And United Mine Workers of America do not
4 believe that anyone who is impaired, whether it's
5 alcohol or drugs, should be working in any mining
6 operation nor should anybody be subject to work with
7 an individual who is possibly impaired by alcohol or
8 drugs.

9 Having said that, and I think that's
10 pretty straightforward and pretty simple. There's not
11 a tolerance there. But having said that, I think we
12 must be very careful about what kind of programs or
13 what kind of regulation we are going to implement
14 here.

15 This is, in my estimation, come on rather
16 quickly and from pretty much nowhere, this particular
17 regulation. Or I guess it's not a regulation. I guess
18 it's a questions and answers session on whether or not
19 you need to have a regulation, which is a unique
20 situation I think from many respects. You know,
21 normally we wait for the regulation to come out and
22 either go we agree with you or don't agree with you.
23 But this extremely unique situation trying to gather
24 the data. And I got to tell you, unless you know

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 something more than I do, I don't think you're going
2 to gather much data at these things.

3 I do not see, and I heard Adele speak
4 earlier that she amazed by the amount of accidents and
5 fatalities that are somehow connected to drugs or
6 alcohol. If she has that data, I'd like to see it.
7 Because even when you issued your call for comments,
8 this agency said we really have insufficient data to
9 know whether we should do anything or not.

10 So if somebody has that information, we
11 would certainly like to see that. We would like to get
12 a glimpse of what that is.

13 And not downplaying issue. I think this is
14 a social issue that we have attacked for years and
15 years and years in this country and not very
16 successfully, I might add. To suddenly have an
17 epiphany that the mining industry is unique or
18 different than others and suddenly needs a regulation
19 to control this, needs more than just somebody saying
20 we need to deal with this, we need to look at it. We
21 need to have the data that says where the problems
22 are, how they exist and what we should do from there.

23 The mine workers have a lot of experience
24 with dealing with drug and alcohol programs at

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 different facilities. And quite frankly, you know,
2 each one takes a different twist and sometimes these
3 things can get extremely complicated depending on
4 whether you're dealing with five people or 50 people
5 or 300 or 600. So these things can become extremely
6 difficult.

7 From our perspective we're a little
8 concerned that as with most regulations, you have good
9 guys and bad guys. You have good guys that say well
10 we got a regulation here and we've got to follow it.
11 That's what we got to do. And there are a lot of
12 operators out there that do that. And then you have
13 the other group that says well it's hit and miss, they
14 may show up today and they may not. We can get away
15 with not doing that.

16 I would submit to you that when you deal
17 with a substance abuse regulation what you're going to
18 have is the operators who currently test, will read
19 the regulations, adhere to the rule and test and do
20 those things that are in that rule.

21 I would also suggest that those operators
22 who aren't currently testing today are those ones who
23 are eliminating their plan, are going to say we're not
24 going to do it. And you can play whatever game you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 want to in your own mind and say well we've done
2 something good here, you haven't impacted in my
3 estimation those operations that may need the
4 regulation the most. They're simply not going to do
5 it. And I think that if you had been at any of the
6 task force hearings, whether it was in Kentucky or
7 Virginia, you would have heard very much the same
8 thing from operators saying I am not going to test my
9 workforce. I cannot afford to lose the employees I
10 have and if I test, I'm going to lose them. It's as
11 simple as that. And we heard that time and time again.

12 Now, what we do with this problem is I'm
13 not sure. As the coal industry continues to heat up
14 and the larger employers continue to search for
15 qualified miners, I think we see some of those
16 operators who are hiring from the smaller operators,
17 and they're going to prescreen and they're going to
18 pretest, and they're going to take those employees who
19 generally speaking don't have a drug or alcohol
20 problem. Now who fills in for those individuals at
21 the other operations where there's no screening can be
22 a very scary thing. But I will go back again to what
23 I said, they're not going to screen them on the way in
24 and they're not going to screen them while they're

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 there. They want that coal in the ground and what
2 happens in between time is not going to be their
3 concern. It should be a concern for us, but what I'm
4 saying is I don't know how we deal with this.

5 I don't know how you create a regulation
6 and say here's what it is whenever there is no
7 reasonable likelihood that they're going to abide by
8 it.

9 I think that, you know, when we look at
10 these things, and unfortunately we've just had a case
11 where it was clearly demonstrated that regulations
12 that are out there that aren't enforced or can't be
13 enforced, create a problem for the agency in the end.

14 And I think that very unfortunately the recent
15 decision by the judge in the *JWR* case clearly showed a
16 pattern that there was regulations out there that
17 weren't enforced for years and years and years and now
18 you have a major disaster or a major problem. And
19 MSHA runs in and says you didn't do this and you
20 didn't do that and you should have done this and you
21 should have done that. And here's your fines. And the
22 judge says where did that come from? Why weren't you
23 enforcing regulations prior to? Why didn't you do
24 these things before? And I think we fall into that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 problem.

2 This is almost in my estimation
3 unenforceable. I don't know how you get your hands
4 around this regulation. I don't know how you touch
5 every operator and say you have to, you have to follow
6 this regulation. How are you going to monitor those?

7 How are you going to monitor the 600 person operation
8 let alone the ten person operation. That will be, I
9 would assume, your responsibility.

10 I mean, you don't just throw regulation
11 out and say there it is, abide by it. And a paper
12 trail or a paper check is just not going to make it.
13 I think we've seen that in the past. I think we've
14 clearly seen that in the past.

15 So how we deal with it, I don't know. I'd
16 be happy to sit down after we get some data and some
17 details and where the problems are and how severe they
18 are to look at it.

19 The other thing that is of great concern
20 is, and we've heard some discussions about EAPs and
21 SAPs and, you know, we have employers that had zero
22 tolerance and you know, you get caught, you're fired
23 and that's the end of it. And we've heard some
24 discussion about well if the employee approaches prior

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to being tested, you know, then we can put them in an
2 assistance program and get it from there. But if you
3 rationalize this thing out a little bit, I guess, you
4 know most alcoholics don't believe they're alcoholics,
5 don't believe they have a problem. And if this
6 individual gets tested, now that individual has the
7 death sentence.

8 There's got to be some way if we're going
9 to run a program that says you cannot just up and
10 terminate the individual. You've got to give this
11 individual a chance to be rehabilitated to find their
12 way out of the problem that they have. Because,
13 obviously, this is a problem. This is an issue that
14 discharge, certainly, is not going to help. Because
15 now we've not only taken an individual who has a drug
16 or alcohol problem and said you're fired, we've
17 actually affected his family and his children and his
18 wife and everybody else severely. So have we done
19 anything but create a more severe problem and then
20 moved that person out into society with no hopes of a
21 future, possibly. So we need to be very careful about
22 where we're going to push people.

23 We've got to draw the differences, too.
24 You know, we have an alcohol problem, that's one

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 thing. Alcohol is legal. So you've got to deal with
2 that on one respect. You have an illegal drug problem
3 or an illicit drug problem, that's a center issue. But
4 now we're also going to deal with prescription drugs.

5 I heard somebody say earlier well, you
6 know, if you pulled a shoulder and you're on a
7 medication and you test positive for that medication,
8 we found out you have a prescription. I want everyone
9 in the room to think whenever you went to the doctor
10 two years ago because you had a muscle pulled or
11 whatever and they gave you Tylenol with codeine and
12 you took half of them, and six months later it started
13 bothering you again. Now the prescription is not
14 there anymore, right? I mean, you haven't gone back
15 to the see the doctor. Six, eight months, nine months,
16 ten months later it hurts, you take the pill, you get
17 tested. Where we at now? Okay. I mean now I've
18 tested positive for codeine. Now am I subject to this
19 charge?

20 I would think based on the thought process
21 of many people, yes you are. You're done.
22 Prescription drug or not, you're done. I mean,
23 obviously there's going to be some problems there. So
24 we need to look very carefully at what we do with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 people.

2 I've heard a lot of conversation about --
3 also, some conversation about local union input and of
4 course, we encourage our local unions to participate
5 in the discussions. But quite frankly, these
6 discussions whether they're on a local level or a
7 national level are contentious issues to begin with.
8 People are very conscious of the down side of what
9 could happen here and how severely we could impact
10 people. So we do encourage that. But when you get
11 larger operations that create policies across 15 or
12 20,000 workers in some areas they may apply well, in
13 some areas may not apply. But as you get larger
14 operations as they tend to apply them broadly across
15 the board and it can impact operations differently. So
16 if there's some way you can encourage some of the
17 employers to sit down with our locals and deal with
18 it, we would be happy to look at that.

19 The other thing is I think there's some
20 confusion about whether or not we're creating a policy
21 or regulation. I mean, obviously this can't be
22 considered a policy. If you're going to create
23 something, you got to create a regulation. So I think
24 that that suggestions well that the policy should say

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 this or we should look at that, I mean when this get
2 done, if everybody here decides they're going to do
3 something, this is going to be regulation that's
4 enforceable as any other on the books. And I'm not
5 saying that's a bad thing. I'm just saying I think we
6 need to know more about where it's headed before we
7 can endorse whatever may be out there. And it's got
8 to be enforceable across the Board.

9 If there is data out there that would
10 demonstrate to me that drug and alcohol abuse in the
11 coal mine has directly impacted accidents, other than
12 some of those that some of those that you cited, I'd
13 be interested to see that. I know that you have issued
14 in your preamble, I believe it was, made many
15 statements about how many accidents were out there and
16 how many people had tested. I have not had an
17 opportunity to read very deeply the latest critique of
18 that by the Mine Safety and Health Review. But I would
19 suggest that from my overview of it, most of that
20 information if I were you, I don't think I would put
21 it back out again until I checked closer. Because most
22 of those things were dispelled. Most of that
23 information that was put out about the 12 or 16
24 accidents that occurred were not as accurate as they

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 would appear on the face. And I think that we need to
2 be a little bit more accurate about what we're putting
3 out there. But if there's data out there, we'd be
4 happy to look at it and deal with the issue on that
5 level.

6 The training issue I need to touch on
7 because we're talking Part 46 and Part 48 training. I
8 don't know how much more stuff we're going to put in
9 that. How many more mandatory items can we stuff in
10 Part 48 training? And I've been saying this for quite
11 some time. Give me eight more hours, maybe we can work
12 it out. But currently under eight hours every time
13 there's a petition for multiplication, every time
14 there's a policy or program at the mine that is
15 affected in a plan, an event plan or whatever, all the
16 mandatory stuff you have in there already nobody can
17 sit here and convince me that we're hitting the issues
18 we're required to hit adequately in the annual
19 refresher training at this point, and yet we continue
20 to pile and more and more information into that. I
21 think we need to look at what we're doing here.

22 If you're going to have a regulation that
23 personally impacts individuals to the degree this
24 could, a cursory hey don't take drugs and alcohol in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Part 48 training ain't going to get it. We need to be
2 just a little bit more articulate than that and we
3 need to make it clear what we're dealing with here.
4 Training is going to be extremely important, and to
5 stuff it into Part 48 I think is inappropriate.

6 And I think what else it does, I think if
7 we put it into Part 48 it has a tendency to indicate
8 to the individuals at the mine site whether that's
9 management level or employee level, now that this
10 isn't really a serious issue that MSHA is looking at,
11 it's just another thing they dumped in Part 48. I
12 mean, that's the reality of it. I mean, I can
13 remember going through Part 48 training every year
14 thinking if they just say a couple of words different
15 this year than they did last year, it would be great.

16 It's just a canned program. And by the way, we have
17 this modification, and by the way we have that. So we
18 need to look at that.

19 We also need to look at the extent of the
20 testing because I heard a five panel test and an eight
21 panel test and a ten panel test. And I think those
22 things go over the board. But most of the policies
23 that I'm familiar with at the mines that we represent
24 are ten panel tests, anyhow, which is ever expansion.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And in my opinion, in some cases very intrusive and
2 need not go to that extent. But, you know, you deal
3 with those things as they arise. But at any point
4 where you begin to pry beyond what would be absolutely
5 necessary to make sure that that individual is not
6 taking drugs or alcohol while on the job and whether
7 there's impairment or those kinds of things, once it
8 goes beyond there I think we must be very careful.
9 There are issues of privacy here that we have to look
10 at.

11 Contractor issues. Boy, if you can figure
12 that out, you know, that would be great. That is a
13 tough issue. I think that, and I will MSHA a lot of
14 credit on trying to deal with contractors. I think
15 that's as difficult issue as is out there. You've made
16 some headway and done some good things in the last
17 couple of years. But that is an animal that we
18 obviously wish we could get our hands around better,
19 and I'm sure you do, too.

20 I think the suggestion that you could
21 include contract employees in an operator's plant is
22 not a viable or a possible effort. And I'll tell you
23 why I believe that is because most operators when they
24 hire a contractor don't want to have any liability for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that individual outside of what is already regulated.
2 We've seen that in court cases. We've seen in the
3 *Blacksville* case and several others.

4 I hired the contractor. They have an
5 employer, they got to train them, they got to take
6 care of the gas test, they got to take care of those
7 things. I don't want to have the liability for that.

8 I don't see employers running around or running to
9 this issue and say well if you want to be in our drug
10 testing program, you can. I just don't see that.
11 Because they're going to assume a liability. At some
12 point in time there will be a liability or they'll
13 believe there'll be a liability that they're going to
14 have to assume, if they go that route. What you do
15 and how you require the testing is going to be
16 extremely difficult. Especially, you know small mines
17 are one thing, small contractors are certainly
18 another. So how we deal with that is going to be
19 tough.

20 I've raised a lot of issues. Haven't
21 given you a whole lot of solutions. But, you know, I
22 guess part of my job is to look at this thing from
23 maybe a little different perspective and, hopefully,
24 we can get to where we need to be without throwing

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 anybody under the bus, so to speak. But at the same
2 time looking at it from a practical aspect that this
3 thing is running -- these requests are running about
4 as fast as anything I've ever seen since I've been
5 dealing with the agency for the past 15 years from the
6 office. I mean, I have never seen anything move down
7 the track this fast. I mean, we can do something with
8 roof control, which has killed a lot of miners in the
9 last five years or we can do something with better
10 rock dusting. I'd like to see it move that fast down
11 the track, too. But I think we just need to just take
12 a breath and see where we're at.

13 I'll at this point try to be as quiet as I
14 can. I'll let Dr. Weeks here give his testimony, and
15 then I'll be happy to take any questions that you
16 might have.

17 DR. WEEKS: Good morning. My name is Jim
18 Weeks. I'm an industrial hygienist. I work for the
19 United Mine Workers on a consultant basis.

20 I'm also trained as an epidemiologist, so
21 I look at data and analyze data often.

22 In the mid to late 1980s I was also a
23 member of the Mining Industry Committee on Substance
24 Abuse, which was a joint labor/management committee

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that met for a few years. The principle aim of that
2 committee was to promote awareness of the drug and
3 alcohol problem in the industry. There were a couple
4 of other conclusions that came out of that committee
5 that I think are important to note.

6 One of them is that alcohol is the most
7 common drug of abuse. I think that was validated by
8 Dr. Goplerud who spoke earlier and by some other
9 speakers who spoke earlier today.

10 The paper that you included from the
11 Bureau of Labor Statistic by Chris Webber, about half
12 of the people that they found in those autopsies were
13 affected by alcohol, clearly the most common drug of
14 abuse. And that was found by the Mining Industry
15 Committee as well. I think it's still the case.

16 Secondly, the conclusion that wasn't
17 unanimous on the committee but it certainly was an
18 area of lively discussion was that employee assistance
19 programs or some access to treatment was an essential
20 part of any program to deal with the program of drugs
21 and alcohol in the industry. I think it's been
22 mentioned by others this morning, and we certainly
23 support that, that that is a really essentially part.

24 Otherwise, the problem just gets driven under ground

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and doesn't get solved. It gets passed on to someone
2 else or to people's families.

3 A third common issue that was part of the
4 committee's discussion and hasn't been mentioned here
5 today is that drug testing for either prescription
6 drugs or illegal drugs or any drugs, unlike testing
7 for blood alcohol level, does not detect impairment.
8 What it is does detect is the presence of drug
9 metabolites so that if someone comes up positive for a
10 drug test for marijuana, cocaine or something of that
11 sort, it merely indicates that that person was using
12 that substance at some previous time. Unlike I said
13 for the testing of alcohol, the drug testing itself is
14 not a measure of impairment.

15 Now let me go on to more or less prepared
16 testimony. And I apologize for having it on the
17 screen instead of on a page here in front of me.

18 MR. SEXAUER: Just to clarify for the
19 record, on the screen you mean on your laptop
20 computer?

21 DR. WEEKS: On my laptop, yes. Right.
22 Sorry about that.

23 Clearly, there's a substance abuse problem
24 in our country. It's big, it's complicated. It

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 involves issues of safety, of health, of law and
2 order, international politics, economics and so on and
3 so forth. It's a very complicated problem. And
4 dealing with it is complex as well. The treatment
5 facilities are notoriously underfunded in this country
6 so that people have limited access to treatment. That
7 should be expanded in my opinion, but it is a
8 complicated problem.

9 So that's one thing about which there is
10 absolutely no dispute whatsoever.

11 The other is that mining is a dangerous
12 industry. It continues to have the highest fatality
13 rate of any industry in the country. And the fatality
14 rate in mining in the United States is generally
15 higher than the fatality of mining in other advanced
16 industrial countries. So there's lot of room for
17 improvement and improving the fatality rate in the
18 industry.

19 Clearly, these two do not mix. We do not
20 want people impaired or intoxicated or under the
21 influence working in mining. There's no question about
22 that. It's bad for them. It's bad for the people we
23 work with. The problem is what do you do about it and
24 how big is the problem.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I set out trying to find out how big the
2 problem was by looking at fatality reports. So I've
3 read all the fatality reports for 2004 and 2005 in
4 both coal and metal and nonmetal. It's about 50
5 fatals. About twice the number of people in this room
6 right here that were killed in that year and a half
7 period.

8 And I looked for any mention of drugs or
9 alcohol or drug paraphernalia or whiskey bottles, beer
10 cans, pipes; anything. It's not there. It's simply
11 not in these fatality reports. So the question is why
12 is that the case? Is it because people didn't look?
13 Is it because it's not there? I don't know the answer
14 to that.

15 What I did find was something else. One
16 of them is that I think these fatality reports are
17 exceptionally well done. There's an extended
18 narrative, there's analysis, there root cause
19 analysis, there's a number of people that have been
20 interviewed to prepare these reports, there's a very
21 consistent vocabulary in these reports so that when
22 they say this in one report, it means the same as it
23 means in another report, and so on.

24 I have colleagues who study occupational

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 injuries and they get excited about one sentence of
2 narrative when they look at fatality reports and no
3 analysis and so on and so forth. So these reports are
4 the best there is. And I think it's a long period of
5 evolution to where these reports have come from.

6 Now, when I combined the quality of these
7 reports and the absence of any reference to drugs or
8 alcohol, including the quality of the reports
9 including the analysis, it leads me to the conclusion
10 that drugs or alcohol were not an issue or it just
11 wasn't a topic of discussion in any of these
12 fatalities. I don't know that to be the case, because
13 it's hard to prove a negative. But that's the
14 conclusion that it leads me to.

15 What I did find was, frankly, rather
16 discouraging. It was the sort of usual array of
17 thoughtless and dangerous things that people do in the
18 industry. And I want to go over some of those what
19 they were.

20 Another thing I did not find is that the
21 proportion of fatalities in coal mining, particularly,
22 from roof falls and from fires and explosions was
23 very, very low. In the past, you know, roof falls was
24 the number one cause of fatality injury. Prior to that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 it was fires and explosions. That's no longer the
2 case.

3 And we didn't get there by accident. We
4 got there by looking at data, identifying hazards,
5 conducting analysis and developing some intervention
6 to prevent those kinds of fatalities. And it has
7 worked. And it's a very useful formula. And it's a
8 formula I think the agency should continue to use.
9 And if we apply it to this problem, there's not a
10 whole lot to work with in terms of estimating the
11 magnitude of the problem of drugs and alcohol and
12 injuries in the mining industry.

13 Some of things that I did find that are
14 current are that the fatality rate over the past ten
15 years across the board has not changed. There's been
16 really no progress in reducing the risk of fatal
17 injuries over the past ten years in this industry. I
18 could go into detail on that issue on another
19 occasion. But that's the case. So there's room for
20 improvement there.

21 I also found that there are a lot of
22 fatalities associated with machine maintenance. And
23 they came up, and this is not really a category of
24 analysis, but if you just lump a bunch of things

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 together; brakes are not maintained, the wrong part
2 was put onto a machine that caused a fatality, jerry
3 maintenance, there was failure to lock out and tag out
4 equipment so people were either electrocuted or
5 pinched against the wall and so on and so forth. And
6 I think maintenance is an issue that the agency should
7 spend some time looking at in terms of trying to
8 prevent fatal injuries. And with that as your mission,
9 and I think you should look at your data. That's one
10 thing that I found.

11 Management failures were also common. They
12 were in the form of the failure to identify hazards,
13 failure to develop procedures designed to prevent
14 injury, failure to task train, poor communications.
15 This is language taken directly from the fatality
16 reports.

17 In the metal/nonmetal sector the word
18 "procedure" occurred in 18 of the 22 fatalities. And
19 in every instance it was either the absence of a
20 procedure, an inadequate procedure or failure to
21 follow a procedure of controlling a hazard that lead
22 to those fatalities. Was that due to drugs and
23 alcohol? I have no idea. But we do know that there
24 are things going on in the industry that leads to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 fatal injuries, and I think that those are the things
2 that you really need to be paying attention to.

3 We talked about contract workers, and so I
4 looked at fatality rate amongst contract workers. In
5 2004 in coal mining the rate of fatal injuries in
6 contract workers in mines was almost exactly the same,
7 and very high, .027 or .028 deaths per 100 workers.

8 In the metal/nonmetal sector the rate of
9 fatality injuries amongst contract workers is more
10 than three times that for miners. So that's an issue
11 that's just crying out to be addressed in one fashion
12 or another.

13 Now it's entirely conceivable that some of
14 the people who died were impaired. But the fact of the
15 matter is, based on your own fatality reports, there's
16 no evidence to support that.

17 Now one would think that after -- see, the
18 Mining Industry Committee did our work in the late
19 1980s, that was like 20 years ago. And there's been a
20 recent concern over drugs and alcohol in the industry.
21 And I would think that given the concern that somebody
22 would instruct the people who were investigating
23 fatalities to say "Look for drugs and see if there's
24 anything there." You don't have to do drug testing on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 people. You can look for any evidence of drugs. You
2 know, like I said, beer cans, whiskey bottles, drug
3 paraphernalia and prescription jars, etcetera,
4 etcetera, etcetera. So you don't have to do drug
5 testing to find out some evidence of that.

6 And I would hope that you may have
7 instructed people to do that. Maybe they did, and they
8 didn't find anything. But there are ways of dealing
9 wit this problem short of drug testing.

10 There are other agencies in the government
11 that have expertise to address the drug problem. The
12 NIAAA probably, the most prominent. I think their
13 expertise, their data, their insight is really
14 essential to putting together some kind of program so
15 that it's well informed by the people that have been
16 at this for a long time. And you can come up with a
17 policy that that might work.

18 Now, just a couple of comments in
19 concluding. I think there's some essential features
20 for any regulation that MSHA puts together. One is
21 that alcohol is at the top of the list as far as a
22 drug of impairment. It affects not only people's
23 safety performance, but affects health care costs as
24 well. The amount of money devoted to health care

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 directly related to alcohol is really astounding. It
2 involves gastrointestinal disorders, dementia, liver
3 problems and so on which drives up health care costs.
4 So there's a lot of reasons to address some attention
5 to alcohol.

6 Secondly, access to treatment is
7 essential. I think if there's no access to treatment,
8 then you just drive the program underground.

9 And third is having small mines that have
10 to be included. There have been a number of
11 suggestions on how to do that. But I don't see any
12 reason that they should be exempt. They have the
13 highest fatality rates. They have a lot of dust
14 exposure, high rates of black lung and so on. These
15 are the problem child of the industry, particularly in
16 coal. So there's no reason why they should be exempt
17 from any regulation of this sort.

18 Anyway, I'll send in some more coherent
19 written comments at a later time. But if you have any
20 questions, we'd be glad to speak to them.

21 MR. SEXAUER: Let me ask a question of
22 Tim. I don't know if you're prepared to answer this or
23 will answer it. But I was curious, do you have any
24 drug-free workplace programs in any of your mines?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. BAKER: I believe that there are a few
2 in northern West Virginia that are drug-free. Western
3 Pennsylvania has, and I believe that's Foundation, a
4 drug-free workplace.

5 When you look at it, and you kind of
6 looked at that objectively, that's obviously a good
7 thing to have. You want to have a drug-free workplace,
8 but I think some of the response for a drug-free
9 workplace are post a sign that says this is a drug-
10 free workplace, don't bring any here and you get your
11 discount on your worker's comp. And we do have some.
12 But I'll be honest with you, those are generally local
13 unions that interact with management on site with
14 those things and try to build those programs, whatever
15 they may be. However, because some of them as basic.

16 Some of them are just post a sign. Others of them
17 are, we have locals that work very actively with drug
18 policies, drug programs and dealing with our members
19 or management members or individuals that may or may
20 not have problems.

21 MR. SEXAUER: We've heard at a couple of
22 meetings that random drug testing is an effective way
23 to reduce the incident of drug problems at mines. And
24 I'd be interested in hearing what your major concerns

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 would be with the random drug testing programs?

2 MR. BAKER: And I'll let Jim speak to this
3 also. But I think initially I think part of the
4 problem is when you begin to discuss random drug
5 testing, I think you begin to look very quickly, at
6 least it's been my experience, to see who is exempt
7 from that random drug testing. I'm not sure that
8 random drug testing points or indicates if you do that
9 random drug testing, that there's any indication that
10 it reduces accidents. I don't see that data supporting
11 that. I don't see a drastic drop in operations that do
12 random drug testing. And so that's one concern I
13 have.

14 The another concern that mostly our folks
15 on the ground deal with is who gets to be exempt, who
16 doesn't have to participate. Special job
17 classifications. Mine rescue team, supervisors who
18 are considered critical jobs. We actually have a
19 program out there where those two groups, which are
20 management groups, are exempt from the random testing
21 and they get tested annually. They must be tested
22 annually after a 30 day notice. It doesn't add much
23 credence to the program.

24 But my biggest concern, and I've talked

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 with Him on this on occasion, he can be very
2 articulate about it, is I don't see data that says if
3 you do random testing where on the back side of that
4 you see a drastic or even a major reduction in
5 accidents. I don't see that data. So, I'm not sure
6 it's effective. And I don't know why that is. But if
7 you want to --

8 DR. WEEKS: Let me say just a couple of
9 things about random testing. First of all, I don't
10 think there's any -- focus any attention on one aspect
11 of a program without placing it in some sort of a
12 context is -- it's very difficult to speak to that.
13 Because it really depends on the whole context. If
14 there's a coherent program together with objectives
15 and measurement, so and so forth, then it's reasonable
16 to ask the question well what does random testing get
17 you and why do you do it. So I think the context is
18 important.

19 The second thing is that there are a
20 number of operators that have had drug testing
21 programs, random testing and otherwise, for many
22 years. Ten or 15 years and they've tested thousands
23 of people. What have they found out from all that?
24 Where is that data? What is the prevalence and how

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 many people have they found that test positive for
2 what? Do they test for alcohol or is it just drugs?
3 And what have they gotten from all this investment?

4 I mean, I think as a reasonable way to run
5 a business if you're going to spend a lot of money in
6 a program, a reasonable question is what do you get
7 for that? Have they achieved a reduction in accident
8 rates, for example? Has that been identified? And I
9 don't mean anecdotes. There are a lot of anecdotes
10 about this. I don't think it's responsible to build
11 policy on anecdotes. They get a critical insight into
12 what's going on, but they don't give us the
13 information that we need to build rational policy.
14 And I think the companies that have done drug testing
15 should bring that data forward and so we can take a
16 look at it and see what have they found out and what
17 are the benefits of doing it and trying to analyze
18 that data. Otherwise it's just a shot in the dark.

19 MS. CARR: I guess following up on Ed's
20 line of questioning, you know I'm hearing that clearly
21 recognize that substance abuse is a hazard. There may
22 not be the data that shows the pervasiveness in the
23 mining industry per se. And you know, I'm quite
24 frankly new to the mining industry or to working with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MSHA, so I don't know that this is faster in terms of
2 a process. But it seems like this is a real
3 opportunity to get information about what might work.
4 So I would be interested in knowing from you of the
5 elements of a drug-free workplace programs that you're
6 familiar with in the mining industry, I've heard that
7 EAPs and treatment are particularly essential. But are
8 there any other specific things that you've seen that
9 really work and from MSHA role do you think that MSHA
10 could do to help make sure that more mines have the
11 essential elements of a program that protect miner
12 safety?

13 MR. BAKER: Well, when you begin to talk
14 about EAPs, you know of course we see that in
15 intervention and any assistance we can get as a key
16 aspect of any program that you have out there, a drug-
17 free program or whatever the workplace, or whatever
18 they want to call it to cite, whether that's the
19 federal designation, state designation. But, you know,
20 in our estimation if you're going to effectively help
21 individuals, first of all, those EAPs have got to be
22 built into the system. You can't create a regulation
23 basically for some individuals hangs them out to dry,
24 in essence, saying that listen you have a problem.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And they may not recognize they have that problem. And
2 it may be an alcohol problem, it may be a prescription
3 drug dependency. But there's got to be a support
4 system on the front side of that thing that says if in
5 fact you do have a problem, you know, we're not going
6 to cast to the wolves and be done with you. And now
7 you're getting into -- I mean, you want to see a real
8 problem. Wait until you put that one out there and the
9 companies say now you're regulating how I do my health
10 care system, how I do all these. Because it's going
11 to impact those things. So I think you're going to
12 have a struggle there.

13 But, you know, what we're looking at I
14 think is we have a lot of long term employees out
15 there. And this, I guess, to a certain extent is not
16 surprising. We haven't had new hired miners in the
17 industry for years and years and years. And maybe
18 that's where some of this excitement or some of this
19 rapid move to judgment comes from. You know, we're now
20 bringing the 18, 19, 20 year old miners in and, if I'm
21 not mistaken, I was the last group of those that come
22 in 30 years ago. So I think that that may be some of
23 the concerns. The workforce in general that I see from
24 an historical standpoint up until the last few years

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 has been very stable.

2 But if you do a regulation, you need to
3 look at a couple of things. And that is the assistance
4 program. And I don't know how you do this, this is
5 where I get real concerned. Because now we're going to
6 talk budgetary problems. Because, you know, we have
7 heard for a while now that, you know, there could be
8 budgetary problems within the agency year. The
9 budget's not going to get any bigger, we're going to
10 hold the line and possibly reductions.

11 If you are going to have a regulation that
12 actually impacts every operation out there, you'd be
13 willing to spend a lot of money and hire a lot of
14 miners. Because if you do not administer the drug
15 testing, if you -- and I mean the agency does not
16 administer those drug tests at those places where you
17 have major problems and they are not drug testing
18 today, the problems are going to grow and they're not
19 going to drug test tomorrow or the day after you do
20 the regulation.

21 So I think the challenge is huge for you
22 folks, because if you just kick out a regulation and
23 say there it is and there's no money for inspectors
24 and for laboratories to do the testing and that, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 you're not going to do it, you're going to have no
2 impact on the worst part of the industry. This agency
3 will have absolutely no impact where it needs to.

4 MS. CARR: What about in the nonregulatory
5 route? I mean the Department of Labor already has an
6 education and outreach initiative which is what I've
7 been involved in. Are there any things that MSHA and
8 the Department of Labor can do to help encourage?
9 Because one of the things I'm hearing is that there a
10 lot of programs, some are good, some are bad. And one
11 of the concerns that I've heard is how do we make sure
12 that more mines have more good programs, however you
13 define that? Are there any nonregulatory avenues that
14 you believe are worth pursuing?

15 MR. BAKER: And I think in a lot of
16 instances, and it's isolated from place-to-place and
17 some are more successful than others. But you know
18 the inspectors currently do walk and talks. And I
19 think in many instances those things are very helpful.

20 You know, information that comes on drug
21 and alcohol abuse from the Department of Labor or MSHA
22 that can be left at the mine, you will have a
23 certainly have an impact with some miners. And it's
24 an education process. How much of an impact that has,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I don't know. But, you know, I'm not sure how that
2 information or that education process would exactly
3 work. I mean, it's nice to pass information along,
4 it's nice to have those walk and talks.

5 Will it impact some folks? Yes, I'm sure
6 it will. Will it impact everybody and what will the
7 extent of the impact be? I'm not certain. I'm not
8 certain. I would hope that, you know, when people
9 understand the problems that can be created or the
10 hazards that already exist can be made that much more,
11 they would change their attitude or change their
12 behavior. But that's not always the case. So I think
13 it's a very difficult situation. And I think that
14 that's something that you have to look at, and maybe
15 that should be the focus of this rather than how we're
16 going to write a regulation that not enforceable?
17 Because that's what you're going to do. I hate to be
18 so blunt and so cruel. You're going to write a
19 regulation that is not enforceable. So maybe we should
20 focus on how we touch people in an educative way to
21 educate them about the problems without a threat.
22 Because you do know that the regulation will also been
23 deemed as threatening to many miners. They're going
24 to see you now again as a threat. You have created a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 regulation that could cost me my job. And whether you
2 like it or not, that's how some are going to look at.

3 If they have a problem or not, oh could I
4 get caught in a prescription with that. Or in this
5 instance, you know, you're going to be perceived by
6 many miners as a threat. And I'm sure your inspectors
7 on the ground see that in many operations today. But
8 it worsens the problem. Maybe we need more education
9 rather than regulation. Because it's not going to be
10 enforceable unless you're hiring inspectors and
11 testers.

12 MS. CARR: Thank you.

13 MR. SEXAUER: Any other questions?

14 MR. MacLEOD: I have a question.

15 Tim, you talked a little bit about what we
16 euphemistically call the eight pound bag, referring to
17 annual refresher training and it being filled and
18 maybe even a little too full from time-to-time.
19 Certainly up for debate. But you also talked about
20 receiving the same training as I hear from a lot of
21 people year in and year out the same repetitious
22 stuff. Would it be in your thinking okay from time-
23 to-time for somebody to adopt a program to incorporate
24 -- and I'll just use the annual refresher for our

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 discussion, to incorporate a program maybe under the
2 topic of health as an example or health and safety
3 aspects to talk about availability of drug programs,
4 the hazards of impairment, so and so forth, related to
5 drugs or alcohol abuse as part of an eight hour annual
6 refresher training to maybe add a little diversity in
7 the program and then use something not so repetitive
8 that we all hear miners complain about.

9 MR. SEXAUER: I'm sorry. Excuse me, Tim.

10 Would you mind speaking at the microphone, please?

11 MR. BAKER: Yes. And I would suggest that
12 there are a number of things that can be done when it
13 comes to the refresher training course. Our belief is
14 that currently that eight hours is certainly not
15 enough time to get this information. And generally
16 speaking a lot of it is relevant information. Some of
17 it is painfully repetitive. But would a health segment
18 on the impacts of drug and alcohol be good? Yes, I
19 think it would.

20 I think, however, if you want to clearly--
21 if this is the issue of the decade that we're talking
22 about, if this is the major issue that we have to deal
23 with, then I think that in order to really emphasize
24 the problem that exists and if you believe this to be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 true, that the problem that exists and the concerns
2 that are out there and the hazards that it creates,
3 then it should be something out of that eight hours.
4 that places emphasis on the situation. It says this is
5 how severe we believe it to be.

6 Generally what I'm hearing from some folks
7 is this a horrible, terrible problem within the
8 industry. It's huge, and there are problems within the
9 industry in a lot of aspects. I don't maybe see this
10 as much different than the societal problem we have
11 with drugs. But if you want to emphasize it, I would
12 say yank it out of the eight hour. Don't put it in the
13 eight hour. You want to have something set aside if
14 it's that important to say "Operator, large and small,
15 for two hours or an hour or four hours, you'd better
16 talk to these folks about the hazards of drugs and
17 alcohol and the impact, because that is a major impact
18 on your operation. You need to talk about it outside
19 of that other stuff." I mean, I would think that
20 would be the biggest bang for the buck.

21 MR. MacLEOD: Thanks.

22 MR. SEXAUER: Any other questions?

23 MS. CARR: I have on more. This is for
24 Mr. Weeks. You described your analysis of the accident

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 investigation. So those were fatalities only?

2 DR. WEEKS: Yes, those are only
3 fatalities.

4 MS. CARR: And you concluded that if drug
5 and alcohol was an issue, you didn't see it on there.

6 You also said is that matter of not looking for it
7 during the investigations. And my question to you is
8 how do we find out whether or not it's a matter that
9 alcohol and drugs are not a factor or just those
10 questions haven't been asked?

11 DR. WEEKS: Well, I think you could
12 probably find out whether those questions have been
13 asked by asking the people who wrote the reports and
14 see whether or not they looked. That's one way.

15 As far as answering the question, I think
16 short of drug -- obviously, one could do drug or
17 alcohol testing physically. There may be a variety of
18 constraints why that's not possible to do that. But
19 there's other kinds of evidence. There's physical
20 evidence that one could look for. Drug paraphernalia,
21 prescription jars, bottles of whisky, beer what have
22 you that's possible to get some indication that there
23 was an issue there. So it is possible to look for
24 physical evidence in those instances. But, you know

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the evidence, it's just not mentioned in these
2 reports.

3 Typically these reports are about seven or
4 eight pages of one single spaced narrative and maybe
5 some drawings and photographs and so on. As fatality
6 reports go, they're exceptional.

7 MR. BAKER: And if I could just comment
8 briefly. Because there was a mention about, I think
9 it was Pennsylvania not paying worker's compensation
10 benefits. And we had an analysis done, and I'll send
11 it over. That's clearly true with almost every state.

12 West Virginia is the same way. Kentucky is the same
13 way. Ohio, Alabama. But we also need to be a little
14 cautious here because let's honestly take the worst
15 case scenario where for whatever reason an individual
16 is working in a mine and he's impaired. And that
17 individual becomes involved in an accident and it's a
18 fatal accident and he or she is killed. There's a
19 family still out there somewhere. And while we can say
20 we can be hard and we can be harsh and say shouldn't
21 have been in the mine impaired, the family there's
22 going to be a very, very detrimental impact to that
23 family whenever there's no benefits paid.

24 He's on drugs, too bad. Now, he's gone or

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 she's gone. There's a family that's still left that's
2 going to need some assistance along the way. So we
3 want to be very careful about that information.

4 And there are instances where individuals
5 don't have autopsies simply because if it's found out,
6 the family loses the benefit. And that's very clear in
7 Kentucky that that does occur. It may or may not be a
8 real substance abuse issue, but if there's a question
9 in your mind and an autopsy is going to prove it,
10 you're certainly not going to send that individual or
11 allow that autopsy to occur.

12 MS. CARR: Okay. But you're talking about
13 autopsies only. Is there a concern about family
14 members about fatalities on the job and someone else
15 that might have been impaired causing that fatality?
16 Is that a concern of the mining industry?

17 MR. BAKER: Oh, absolutely. And that's
18 what I said in the opening statement. We do not
19 believe that there is a place for anyone who is
20 impaired to be working in the mines. And nobody
21 certainly -- I don't want to work by somebody that's
22 impaired. And we don't believe anybody should have to.

23 Those are delicate issues also, and I realize that.

24 And, you know, I wish I had the answer. I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 think that it is more education than regulation. But
2 for this discussion, you know, we're going to deal
3 with what potentially will be a regulation. And I
4 think that there are more problems with trying to do
5 that than solutions we're going to come up when the
6 regulation is done. And maybe what we do need to do
7 is back off and have a discussion on how do we educate
8 people rather than how we regulate people. Because,
9 by in large, people don't take well to be regulated.
10 Because this is kind of a personal regulation. People
11 don't take well to be regulated. Maybe we should
12 educate. Maybe we should move in that direction. How
13 we do that, I suppose we all have some ideas. Maybe we
14 ought to sit in a room and discuss those things.

15 MR. SEXAUER: Okay. Thank you very much.

16 Do we have any other speakers in the room?

17 MR. SCHLESINGER: Good morning.

18 MR. SEXAUER: Good morning.

19 MR. SCHLESINGER: My name is Ben
20 Schlesinger. I'm from Circadian Technologies, a
21 research and consulting firm that specializes in
22 helping companies to manage the costs, risks and
23 liabilities of running extended hours operations.

24 I just wanted to talk briefly about an

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 annual that hasn't yet been discussed directly. Early
2 on Dr. Goplerud mentioned the challenge of workers who
3 have been drinking off duty, that they may arrive at
4 work impaired due what we refer to as a hangover, but
5 that the worker would clear an alcohol screening
6 because the majority of the alcohol had left his
7 system. Dr. Weeks also mentioned the dissidents
8 between drug and alcohol testing that shows only the
9 presence of metabolites without indicating any actual
10 impairment in the subject. Clearly we would wish to
11 reduce the incidents of people arriving at work in any
12 impaired condition through preemptive measures, but
13 there are also tools available that can reduce the
14 immediate risk posed by people who do arrive at work
15 impaired.

16 At Circadian, we have validated a number
17 of ocular motor testing instruments. Simply put, a
18 worker looks into this testing machine and is
19 presented with a series of visual stimuli. The
20 machine then measures the reaction of the worker's
21 eyes to the stimuli to determine whether the worker is
22 impaired by judging whether or not the reaction of the
23 eyes are prompt and accurate.

24 The results do not tell us why a worker is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 impaired, it's simply that he poses a substantially
2 increased risk if he is allowed to continued working.

3 These devices are capable of detecting not only
4 impairment caused by substances such as alcohol or
5 other drugs, but also impairment causes by fatigue,
6 illness or hangovers.

7 My colleague, Bill Sirois spoke to an MSHA
8 gathering in Nevada last week about, among other
9 things, the risks of fatigue in mining.

10 One great advantage of the ocular motor
11 testing devices is that they screen for fatigue
12 impairment at the same time that they screen for
13 alcohol, illicit drugs, prescription drugs. And I'll
14 tell you, I've had a lot of managers complain to me
15 that their protocols only test for illegal drugs and
16 not the prescription drugs that their employees
17 abusing, as well as other forms of impairment.

18 The cost can be as low as \$3 per test.

19 There are any number of ways these devices
20 could be put to use, and we're currently researching
21 options that would be preferable to employers.
22 Preshift screening is one option, but the natural fear
23 is that employee might be unimpaired when he reports
24 to work, but grow increasingly impaired over the next

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 few hours.

2 Random mid-shift screening may be a more
3 effective option, though I understand the break time
4 necessary may present operational challenges in some
5 mines.

6 I don't run a mine, so I don't think it
7 would be appropriate for me to introduce a
8 comprehensive plan for the introduction of ocular
9 motor testing in all mines in the country, but I'd be
10 happy to contribute to the discussion of such a plan.

11 In any event, I think it's important that
12 we acknowledge that these tools are out there and that
13 they are effective. And it's important that the merits
14 of impairment testing devices be considered for the
15 safety benefits of keeping miners out of the mines
16 when preemptive programs have failed and miners arrive
17 to work impaired.

18 Thank you.

19 MR. SEXAUER: Any questions?

20 MS. CARR: I just have one. In your
21 experience is this technology used in place of or
22 instead of drug and alcohol testing or is it used in
23 conjunction with in your experience?

24 MR. SCHLESINGER: It's largely used by law

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 enforcement right now, comparable to a breathalyzer in
2 the scenario post-accident. We have seen some
3 companies that have been using it along with drug and
4 alcohol testing. I don't know that I'm aware of
5 anybody using it in replacement of it, because most
6 people want to know what is impairing their workers.

7 One scenario could be to use this as the
8 first screening. And if somebody fails this, then you
9 say all right let's go do a drug screening to figure
10 out what's wrong. Or maybe the employee can say,
11 "Listen, I was up all night. I didn't get any sleep
12 and I know that's why I failed the test." Employers
13 would have to figure out how to respond to the
14 different situations that could arise. But I think
15 that there could be alternatives that would use it
16 either way, either by itself independently or along
17 with other drug and alcohol screening.

18 MR. SEXAUER: Thank you.

19 MR. SCHLESINGER: Thank you.

20 MR. SEXAUER: Would anyone else care to
21 address the group?

22 MR. GERRINGER: Good afternoon. My name is
23 William Gerringer. I'm with the North Carolina
24 Department of Labor Mine and Quarry Bureau. And in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 North Carolina our emphasis is basically on education
2 and training. . We do have some enforcement authority,
3 but for the purpose of this meeting there are a couple
4 of things I'd like to say where it's more relevant to
5 training and education.

6 As far as the mines in North Carolina, we
7 are finding that just about all of our large
8 operations already have a drug-free workplace, you
9 know, implemented. I just want to reemphasize from a
10 training agency, I think our biggest concern is going
11 to be, again, the small guy and how would they fairly
12 implement a program as far drug-free implementing
13 random drug testing.

14 Also another question or issue we would
15 have, too, would be testing immediately following an
16 accident. How you want to define an accident as far as
17 drug testing and would it be paint to paint or, you
18 know, maybe a falling off a scaffolding screen, you
19 know something of that nature.

20 Those issues there are basically our main
21 concern. We have already began using substance abuse
22 programs. We presented in the spring thaw workshop
23 this last spring down in Sanford at the spring thaw
24 workshop that was conducted there. And, you know, as

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 requested by mine operations we're more than grateful
2 to provide that training for them. But, again,
3 briefly, I just wanted to bring those couple of
4 comments.

5 Thanks for your time.

6 MR. SEXAUER: Thank you. Anyone else?

7 Tim Baker, would you be agreeable to a
8 follow-up questions?

9 MR. BAKER: Sure.

10 MS. HONOR: Thank you for coming back up
11 here. I thought of it after you sat down. But you
12 said that you thought any type of regulation would be
13 unenforceable. Can I get you to direct your comments
14 a little bit more about what exactly you think might
15 be unenforceable. Because there is a wide range of if
16 MSHA were to do a regulation, there's a wide range of
17 regulations, you know the possibilities are very wide.

18 MR. BAKER: And if you're going to do a
19 regulation that just basically requires submission of
20 the paper, then I guess you could claim that would be
21 enforceable because you'll get the paper that you
22 want. I would suggest to you that you won't
23 necessarily get an accurate paper but you'll get a
24 paper that says here what we did and here's what we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 found. But if you are looking at a situation were you
2 are saying we are going to actually regulate this to
3 reduce and eliminate drug abuse alcohol abuse in the
4 mining industry then what you are in essence saying is
5 you're going to police these problems. The only
6 effective way to do that is if this agency hires the
7 labs, hires the inspectors to go and the samples and
8 actually runs that program. Because you will not from
9 a standpoint of saying okay, if you put into a -- and
10 I'll give I'll use a for instance.

11 If you put in a regulation that says
12 you'll randomly drug test X amount of the workforce
13 every year and you'll spot check this many individuals
14 every year, you'll get data back that says that that
15 was done. But just because you get data back on paper
16 doesn't necessarily mean you've effectively
17 accomplished anything.

18 It's a lot like the critical jobs that I
19 mentioned previously. As individuals we were given a
20 30 day notice prior to a drug test. Now how effective
21 is that? Or if you have, and we have heard people --
22 I'm sure you've heard horror stories about mine
23 operators not wanting to test their workers because
24 they're afraid of what they're going to find.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 If I have a workforce that is productive
2 and on drugs and I'm not going to test them and you
3 implement a regulation, are they going to be tested or
4 aren't they? I would submit to you that probably
5 those individuals who have a problem that are very
6 productive for that current employer aren't going to
7 be the ones tested. So you really have not solved any
8 problem. You've created a new regulation, but you
9 haven't solved the problem if you understand what I'm
10 saying.

11 MS. HONOR: Yes.

12 MR. BAKER: I just don't see it -- I see
13 this unless you're going to run the program, I don't
14 see this as a regulation that you can enforce.

15 MS. HONOR: Yes. And I asked the question
16 because in looking at the metal/nonmetal standard it
17 doesn't have testing. And I'm not aware that MSHA's
18 having any problem enforcing that. And so I was
19 trying to get you to focus your comment a little bit
20 more is it the testing that is unenforceable?

21 MR. BAKER: Yes. Well, no. I guess then
22 the question in my mind then becomes with the
23 regulation that you currently have in metal/nonmetal,
24 do you believe that that's had an impact on drug and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 alcohol abuse if there anything to any major extent in
2 metal/nonmetal? Has there been a major impact there?

3 I would suggest to you that there hasn't been.

4 If you're going to get a bang for your
5 buck, I don't think we're going to get it this way. I
6 think education, helping people that need assistance
7 and doing those kind of things not only will they get
8 a bang out of, but we'll get a bang for the buck of
9 being able to assist people. I think the regulation
10 is not going to do that unless -- and we've suggested
11 this because the state of Kentucky of also looking at
12 this. And we've suggested that the state of Kentucky
13 fund that program, hire inspectors, hire laboratories,
14 run the program because if you don't, you're not going
15 to get a bang for your buck.

16 If you are saying there is a major problem
17 and you want to help people, that's the only way
18 you're going to solve it. By saying file some
19 papers, let us know if you have a drug-free workplace
20 and do those kind of things, that won't assist those
21 people who have problems. And that's from our
22 perspective.

23 But we'll be happy to work with you on an
24 education program or however you want to do that. But

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that's kind of where we're at.

2 MS. HONOR: Thank you.

3 MR. BAKER: Thank you.

4 MR. SEXAUER: Okay. Anyone else?

5 Okay. If there's no one else, then this
6 meeting is adjourned.

7 Thank you very much.

8 (Whereupon, at 12:28 p.m. the meeting was
9 adjourned.)

10

11

12

13

14

15

16

17

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701